

1 Health Resources and Services Administration

2

3

4

5

6

7

8 Advisory Committee on Heritable Disorders

9 in Newborns and Children

10

11

12

13

14

15 Meeting

16 9:30 a.m. to 2:00 p.m.

17 Wednesday, April 24, 2019

18

19

20

21

22 Reported by:

23

1 **Committee Members**

2 **Joseph A. Bocchini, Jr., MD** (Chairperson)

3 Professor and Chairman

4 Department of Pediatrics

5 Louisiana State University

6

7 **Mei Baker, MD**

8 Professor of Pediatrics

9 University of Wisconsin School of Medicine and

10 Public Health

11 Co-Director, Newborn Screening Laboratory

12 Wisconsin State Laboratory of Hygiene

13

14 **Susan A. Berry, MD**

15 Professor and Director

16 Division of Genetics and Metabolism

17 Departments of Pediatrics and Genetics,

18 Cell Biology & Development

19 University of Minnesota

20

21 **Jeffrey P. Brosco, M.D., Ph.D.**

22 Professor of Clinical Pediatrics

1 University of Miami School of Medicine
2 Department of Pediatrics
3 Deputy Secretary, Children's Medical Services
4 Florida State Department of Health

5

6 **Kyle Brothers, MD, PhD**

7 Endowed Chair of Pediatric Clinical and
8 Translational Research
9 Associate Professor of Pediatrics

10

11 **Jane M. DeLuca, PhD, RN**

12 Associate Professor
13 Clemson University School of Nursing

14

15 **Cynthia M. Powell, M.D.**

16 Professor of Pediatrics and Genetics
17 Director, Medical Genetics Residency Program
18 Pediatric Genetics and Metabolism
19 The University of North Carolina at Chapel Hill

20

21 **Annamarie Saarinen**

22 Co-founder, CEO

1 Newborn Foundation

2

3 **Scott M. Shone, PhD, HCLD(ABB)**

4 Senior Research Public Health Analyst

5 Center for Newborn Screening, Ethics, and

6 Disability Studies

7 RTI International

8

9 **Beth Tarini, MD, MS, FAAP**

10 Associate Director, Center for Translational

11 Science

12 Children's National Health System

13

14

15 **EX-OFFICIO MEMBERS**

16 **Agency for Healthcare Research & Quality**

17 **Kamila B. Mistry, PhD, MPH**

18 Senior Advisor

19 Child Health and Quality Improvement

20

21 **Centers for Disease Control & Prevention**

22 **Carla Cuthbert, PhD**

1 Chief, Newborn Screening and Molecular
2 Biology Branch
3 Division of Laboratory Sciences
4 National Center for Environmental Health

5

6 **Food and Drug Administration**

7 **Kellie B. Kelm, PhD**

8 Deputy Director

9 Division of Chemistry and Toxicology Devices

10 Office of In Vitro Diagnostics and Radiological
11 Health

12

13 **Health Resources & Services Administration**

14 **Michael Warren, MD, MPH, FAAP**

15 Associate Administrator,

16 Maternal and Child Health Bureau

17

18 **National Institutes of Health**

19 **Diana W. Bianchi, MD**

20 Director

21 Eunice Kennedy Shriver National Institute

22 of Child Health and Human Development

1

2 **DESIGNATED FEDERAL OFFICIAL**3 **Catharine Riley, PhD, MPH**

4 Health Resources and Services Administration

5 Genetic Services Branch

6 Maternal and Child Health Bureau

7

8 **ORGANIZATIONAL REPRESENTATIVES**9 **American Academy of Family Physicians**

10 Robert Ostrander, MD

11 Valley View Family Practice

12

13 **American Academy of Pediatrics**

14 Debra Freedenberg, MD, PhD

15 Medical Director, Newborn Screening and

16 Genetics

17 Community Health Improvement

18 Texas Department of State Health Services

19

20 **American College of Medical Genetics**

21 Michael S. Watson, PhD, FACMG

22 Executive Director

1

2 **American College of Obstetricians & Gynecologists**

3 Britton Rink, MD, MS

4 Mount Carmel Health Systems

5

6 **Association of Maternal & Child Health Programs**

7 Jed L. Miller, MD, MPH

8 Director, Office for Genetics and People with

9 Special Health Care Needs

10 Maryland Department of Health

11 Prevention & Health Promotion Administration

12

13 **Association of Public Health Laboratories**

14 Susan M. Tanksley, PhD

15 Manager, Laboratory Operations Unit Texas

16 Department of State Health Services

17

18 **Association of State & Territorial Health**19 **Officials**

20 Christopher Kus, MD, MPH

21 Associate Medical Director

22 Division of Family Health

1 New York State Department of Health

2

3 **Department of Defense**

4 TBD

5

6 **Genetic Alliance**

7 Natasha F. Bonhomme

8 Vice President of Strategic Development Genetic

9 Alliance

10

11 **March of Dimes**

12 Siobhan Dolan, MD, MPH

13 Professor and Vice Chair for Research Department

14 of Obstetrics & Gynecology and Women's Health

15 Albert Einstein College of Medicine and Montefiore

16 Medical Center

17

18 **National Society of Genetic Counselors**

19 Cate Walsh Vockley, MS, LCGC

20 Senior Genetic Counselor

21 Division of Medical Genetics

22 UPMC Children's Hospital of Pittsburgh

1

2 **Society for Inherited Metabolic Disorders**

3 Shawn E. McCandless, MD

4 Section Head, Genetics and Metabolism

5 Children's Hospital Colorado

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

C O N T E N T S		
		PAGE
1		
2		
3	Welcome, Roll Call	11
4	Newborn Screening Pilot Studies	15
5	Ad-Hoc Workgroup - Interpreting NBS Results	61
6	Cystic Fibrosis Registry	71
7	Primary Immune Deficiency Treatment	
8	Consortium (PIDTC): Severe Combined	
9	Immunodeficiencies (SCID) Data Collection	102
10	Lunch	117
11	Afternoon Welcome, Roll Call	117
12	Public Comment	120
13	Followup and Treatment Workgroup Update	139
14	Education and Training Workgroup Update	149
15	Laboratory Standards and Procedures	
16	Workgroup Update	154
17	RUSP Condition Nomination and Evidence	
18	Review Process: Followup Discussion	168
19	On the Horizon	175
20	New Business / Passing of the Gavel	193
21	Adjourn	195
22		
23		

1 P R O C E E D I N G S

2 CHAIRMAN BOCCHINI: We are ready to
3 begin today's meeting. All right. Welcome to day
4 two of the Advisory Committee meeting. I want to
5 thank you all for your support yesterday and hope
6 everybody had a good evening, and we're ready to
7 start today's agenda.

8 So, first we'll begin with the roll
9 call. Today, for committee members, Kamila Mistry
10 is by webcast. Kamila, are you on? Okay, we'll
11 come back. Mei Baker.

12 DR. MEI BAKER: Here.

13 DR. JOSEPH BOCCHINI: Susan Berry.

14 DR. SUSAN BERRY: Here.

15 DR. JOSEPH BOCCHINI: I'm here. Jeff
16 Brosco is unable to attend today. Kyle Brothers.

17 Dr. KYLE BROTHERS: Here.

18 DR. JOSEPH BOCCHINI: Jane DeLuca.

19 DR. JANE DELUCA: Here.

20 DR. JOSEPH BOCCHINI: Carla Cuthbert.

21 DR. CARLA CUTHBERT: I'm here.

22 DR. JOSEPH BOCCHINI: Kellie Kelm.

1 DR. KELLIE KELM: Here.

2 DR. JOSEPH BOCCHINI: Joan Scott.

3 MS. JOAN SCOTT: Here.

4 DR. JOSEPH BOCCHINI: Cindy Powell.

5 DR. CYNTHIA POWELL: Here.

6 DR. JOSEPH BOCCHINI: Melissa Parisi.

7 DR. MELISSA PARISI: Here.

8 DR. JOSEPH BOCCHINI: Annamarie
9 Saarinen.

10 MS. ANNAMARIE SAARINEN: Here.

11 DR. JOSEPH BOCCHINI: Scott Shone.

12 DR. SCOTT SHONE: Here.

13 DR. JOSEPH BOCCHINI: Beth Tarini.

14 DR. BETH TARINI: Here.

15 DR. JOSEPH BOCCHINI: And our DFO,
16 Catharine Riley.

17 DR. CATHARINE RILEY: Here.

18 DR. JOSEPH BOCCHINI: For our
19 organizational representatives, Robert Ostrander.

20 DR. ROBERT OSTRANDER: Here.

21 DR. JOSEPH BOCCHINI: Debra
22 Freedenberg.

1 DR. DEBRA FREEDENBERG: Here.

2 DR. JOSEPH BOCCHINI: Michael Watson.

3 DR. MICHAEL WATSON: Here.

4 DR. JOSEPH BOCCHINI: Britton --
5 Britton Rink -- Rink by webcast.

6 DR. BRITTON RINK: Here.

7 DR. JOSEPH BOCCHINI: Jed Miller.

8 DR. JED MILLER: Here.

9 DR. JOSEPH BOCCHINI: Susan Tanksley.

10 DR. SUSAN TANKSLEY: Here.

11 DR. JOSEPH BOCCHINI: Chris Kus by
12 webcast.

13 DR. CHRISTOPHER KUS: Here.

14 DR. JOSEPH BOCCHINI: Natasha
15 Bonhomme.

16 MS. NATASHA BONHOMME: Here.

17 DR. JOSEPH BOCCHINI: Siobhan Dolan.

18 DR. SIOBHAN DOLAN: Here.

19 DR. JOSEPH BOCCHINI: Cate Walsh
20 Vockley.

21 MS. CATE WALSH VOCKLEY: Here.

22 DR. JOSEPH BOCCHINI: And Shawn

1 McCandless. And, go back to Kamila Mistry. All
2 right. Thank you.

3 So, first on the agenda is a
4 presentation on Newborn Screening Pilot Studies.
5 Dr. Michael Watson will make this presentation.
6 He is Executive Director of the American College
7 of Medical Genetics and Genomics and
8 Organizational Representative to the committee.
9 Pilot studies are a critical component of the
10 evidence review for conditions nominated for the
11 RUSP. In 2016, the Newborn Screening Pilot Study
12 Workgroup of the Advisory Committee presented a
13 report to the committee, and the committee adopted
14 those recommendations for the minimum pilot study
15 data required to move a nominated condition into
16 Evidence Review Process and has used those
17 criteria ever since.

18 Dr. Watson and a team of experts have
19 now been working on a comprehensive review of the
20 necessary components of pilot studies for newborn
21 screening, and he will share this work with the
22 committee today. So, Michael, thank you.

1 NEWBORN SCREENING PILOT STUDIES

2 DR. MICHAEL WATSON: All right.

3 Well, thank you. This is almost an anti-climatic
4 day for you, isn't it? Had the party yesterday,
5 and now Cindy better watch out for what's coming.
6 I'm appreciative of all the regrouping that the
7 committee is doing. I think it's a -- it is a
8 good time given all the changes that are going on,
9 and we'll talk a little bit about some of those
10 changes that are happening.

11 So, even though I am the Executive
12 Director of ACMG, this is largely an NBSTRN
13 perspective. We've been working on these pilot
14 studies -- a number of pilot studies for quite a
15 while now and have learned a fair bit from our
16 experiences with these. It fits into the NBSTRN
17 mission and, in fact, we work a lot on conditions
18 that are -- we've had issues with the common rule
19 about what their status is when we talk on the
20 pilots, whether it's an implementation pilot or a
21 pilot to really understand and develop the kind of
22 data this committee needs to make its decisions.

1 But it does fit. We deal with new technologies,
2 new conditions, new treatments and management
3 approaches. In this area of pilot studies, we do
4 obtain un-biased -- I think the important thing is
5 to obtain un-biased information, and newborn
6 screening really is the first place where we get a
7 real taste of what something looks like in a
8 general population setting instead of the biased
9 perspective that we bring into these pilot studies
10 that are based on the people that present for
11 health care typically more severe than what the
12 condition really is.

13 So, this pilot piece that gets you at
14 the un-biased population is really critical, and
15 we're in the business of validating new tests and
16 treatments in asymptomatic newborns, and that
17 requires operating in this general population
18 setting.

19 So, right -- we've done a number of
20 pilots already, and we've learned something
21 interesting and different probably from all of
22 them. The SCID pilot is done. It's actually one

1 where I think when we started the pilot, I think
2 there were 25 genes known to be associated with
3 SCID. Now, we're in the neighborhood of 50. You
4 know, statistically it was an interesting problem
5 because even though now we're at an incidence of
6 about 1 in 45 to 50,000, I think we were near
7 800,000 babies by the time the first true positive
8 came out of the pilot. So, it tells you how hard
9 it is to really get a handle on how big a pilot
10 has to be to get to an end point of being able to
11 measure whether it's a successful pilot or not.

12 Pompe disease brought much more
13 adult-onset of a higher incidence than we thought,
14 largely because of adult-onset forms or non-
15 penetrant forms that we had not appreciated.
16 Mucopolysaccharidosis Type 1, X-ALD -- carriers
17 start to fall out into the system. SMA and
18 Duchenne muscular dystrophy -- now we're starting
19 to get into subgroups of a disease either because
20 we're only looking for the exon 7 deletions in the
21 SMAs or in Duchenne because the treatments that
22 are coming are highly targeted at narrow subgroups

1 of a disease population, and it begins to make you
2 ponder what it is you're screening for.

3 Because if you think about a newborn
4 screening pilot, our goal is really to -- to look
5 at the process. We screen for something, identify
6 those at risk, go into the diagnostic setting to
7 figure out what they are, treat them, check the
8 outcome, and it's that outcome that determines
9 whether or not it justifies that being in newborn
10 screening for the long term. So, my narrow
11 definition of what the target is is that thing on
12 which we understand the outcome, that is the basis
13 of the pilot, that ultimately helps you decide
14 whether or not its appropriate for newborn
15 screening or not.

16 So, as I mentioned, this is not an
17 ACMG talk, this is an NBSTRN talk. Several
18 members of our Steering Committees, Sue Berry,
19 Piero Rinaldo, Amy Brower, Bob Currier, and myself
20 drafted a manuscript that includes most of what
21 I'm going to talk about today. It's still working
22 its way through the Steering Committees of NBSTRN,

1 which is where the authorship for the broader
2 paper will lie. And, as I said, our problem
3 really is understanding the measures of progress.
4 How do we understand? There are certain things
5 that tell you how your -- your pilot study is
6 developing, how confident you are that whatever it
7 is you're seeing is likely to take place in the
8 real world. So, we've been thinking a lot about
9 what are those kinds of measures, and there's
10 clearly two kinds. There's those that tell you
11 what your progress is in being confident about the
12 results of your pilot, and there's another group
13 that are sort of the things you get at the end,
14 that you may end up setting some parameters
15 around, you know, if at the end of all this you
16 find out that you've got a positive predictive
17 value of 1 percent, maybe that's not the best
18 thing for newborn screening. But, as you'll see,
19 some of those endpoints take very large numbers to
20 get to, and how you get to those endpoints and
21 continue to capture data and monitor things --
22 like we heard about homocystinuria yesterday is

1 going to be increasingly important.

2 So, we're going to talk a little bit
3 about what's coming, what's changing, and what
4 we've thought of as being the classical newborn
5 screening model, and what are we going to need to
6 deal with some of those challenges. I'm going to
7 spare you hard-core statistics and just try to
8 boil it down to make some examples about the size
9 of populations that might be needed to really feel
10 comfortable or confident in what a pilot is
11 telling us.

12 And then we've already talked some
13 yesterday about some of the capacity needs.
14 Clearly, the newborn screening programs have
15 capacity for workforce -- with workforce problems.
16 People are turning over. It seemed to have
17 contributed to some of the long turnaround time
18 for things to be brought online in some states.
19 So, both that and the medical genetics workforce,
20 we'll talk a bit about.

21 So, from the NBSTRN side, where we're
22 looking at these new treatments and whether or not

1 they're effective or not, the pipeline is really
2 getting full of things that we had not expected to
3 be in the pipeline. There's already a number of
4 conditions that are candidates for newborn
5 screening that are ready for pilot studies. If
6 you think about how we currently fund the pilot
7 studies, I think NICHD has been funding a pilot a
8 year roughly, and the Advisory Committee is
9 sufficiently resourced to do a review per year,
10 maybe overlapping at the end of a year with a new
11 one, and that's -- neither of those are going to
12 be aligned well with what's coming. So, I think
13 we do have to look at the overall model, and we
14 certainly talked to those developing the Newborn
15 Screening Saves Lives Act Reauthorization about
16 what the longer-term needs look like they're going
17 to be.

18 Interestingly, well, I'll come back
19 to the kinds of conditions that are awaiting
20 pilots. Funding is limited. The targets are
21 changing. If you look at the pharmaceutical
22 pipeline, there a, I mean, we're moving into a --

1 an era of molecularly targeted drugs, which really
2 are the ones on which we're going to understand
3 that outcome in patients -- a subgroup of patients
4 with a particular disease from. We have lots and
5 lots of off-target things hitting the workforces
6 right now, and we haven't figured out who -- how
7 to distribute things -- all of these late-onset
8 forms. Is that the problem of the public health
9 system or is it the healthcare system where the
10 providers should be monitoring the risks that that
11 group of patients has, even if they're not
12 candidates immediately for the treatment. We
13 don't know exactly when to start the treatment,
14 perhaps. But, you know, I think it's something
15 we're going to have to think about to take some of
16 the pressure off the medical genetics' workforce
17 and off the newborn screening programs is to
18 figure out who's responsible for what.

19 Rare diseases are the fundamental
20 problem we're dealing with. You know, it's --
21 it's not genetic disease so much as it is just
22 rare things. When you move rare -- try to deal

1 with rare things at the population level, you have
2 a significant problem of building a statistical
3 assessment. So really, I think we have to define
4 what are those measures of whether or not
5 something is likely to -- to behave or perform in
6 the real world the way it did in the pilots. You
7 actually have a very different set of problems of
8 measuring that performance and getting strong
9 statistics and yet accommodating the fact that
10 rare diseases are hard to meet certain -- they
11 need some latitude in meeting certain
12 requirements.

13 So, conditions ready for followup
14 pilot studies already, proximal urea cycles.
15 There's a lot of conditions actually which are
16 already -- where the data is already available
17 from tandem mass spectrometry. The amino acid run
18 -- not all assays are actually refined at the low
19 end, but there's a number of conditions with low
20 levels of amino acids that are candidates for
21 newborn screening, and it doesn't fit our model of
22 funding the pilot study itself as an analytical

1 pilot, because really what we need for these is
2 the long-term followup data because the data is
3 already available in many of the runs of a tandem
4 mass spec that are picking up this analytes
5 already. So, we have proximal urea cycle
6 disorders. We have remethylation disorders that
7 are already candidates. We're running a survey
8 right now among ACMG members and SIMD members
9 asking them to rate a whole of probably 30 to 35
10 conditions for where they think we are in
11 understanding the condition, what do we think of a
12 particular set of biomarkers as being candidates
13 for newborn screening types of analytes, and then
14 -- excuse me -- and then what are these, you know,
15 what is the treatment side of this going to look
16 like.

17 So, we have a series of conditions
18 with low valines, leucines, isoleucines and other
19 groups that have low serines and glycines, all of
20 which are available to us in the screens we
21 currently run if we open them up and see
22 everything in a profile format that is being --

1 that can be analyzed from some of these runs.
2 Lots of LSDs have treatments coming and are going
3 to be candidates for pilot studies if they're not
4 already.

5 We get approached at NBSTRN by a lot
6 of different groups interested in something that
7 they're working on being part of newborn
8 screening. Interestingly, there's sort of a
9 convergence between actionability and the genome
10 and things that people think should be part of
11 newborn screening because they're medically
12 actionable to the benefit of the individual. Many
13 of these are molecularly targeted things, and I
14 think it's an important paradigm shift to think
15 about, you know, there's infectious diseases -- I
16 was always surprised that Joe, with his
17 background, didn't take on the infectious diseases
18 because we certainly didn't when we did the
19 Uniform Panel for Newborn Screening. But those
20 are molecularly targeted types of analyses.

21 And then we have this -- all these
22 molecular phenotypes that we're targeting in

1 pilots. You know, in the newborn screening world
2 of molecular diagnostics, things with a yes/no
3 answer where we've curated the variation of the
4 gene and know whether it's pathogenic or not are
5 things that are easier to move into newborn
6 screening than is the concept of having to -- to
7 interpret sequence variation. It takes a very
8 different skill set and is going to be an
9 interesting workforce issue that we'll have to
10 sort out and figure out where do things take place
11 in the system.

12 I think Sue Berry mentioned the
13 iceberg problem, which is that we only know that
14 little bit about these conditions before we get to
15 the population level. The severe and the early-
16 onset forms are really what we're targeting. Lots
17 of subtypes we've mentioned and now, even scarier
18 -- not scarier -- but even more -- makes you
19 really ponder where this is going, the first
20 reports of identifying people with molecular
21 abnormalities at an individual level and creating
22 a treatment for them has begun to be reported.

1 So, it's outside of a disease context. It's
2 really at the molecular level that these designer
3 kinds of treatments are coming.

4 So, when you think about the kinds of
5 treatments, we already have things like chaperones
6 -- chaperone treatments for biochemical disease.
7 They're dependent upon having a protein there
8 that's abnormal and can be conformed to function
9 more normally. So, we already have some drugs
10 where we're targeting a subset of the patients --
11 those that have a protein. There's a lot of --
12 and some of these you see in Duchenne coming now,
13 the ability to do RNA-directed exon skipping to
14 get through a new stop codon that didn't allow the
15 protein to become full linked. Now, you can read-
16 through it and get a much more normalized protein.
17 Read-throughs are out there. Pre-mRNA splicing
18 types of modifiers are coming pretty quickly. RNA
19 interference is another whole set of therapeutics
20 that are coming down the pike. I can't talk about
21 any of these long because we're going to run out
22 of time if I do. And then substrate reduction

1 therapies are coming pretty quickly as well.

2 So, as the targets change, we see
3 this now -- we're doing a parent project for --
4 muscular dystrophy is funding a project to do a
5 pilot for Duchenne muscular dystrophy in the state
6 of New York. If you think about what is the
7 target of that -- if you think about this is a
8 condition that's maybe 1 in 5,000 -- the treatment
9 is really an exon 51 skip or read-through to get a
10 more normalized protein in individuals with that
11 specific abnormality -- it's about 15 to 20
12 percent of the muscular dystrophy patients. It's
13 very important for NBSTRN because if we're trying
14 to figure out how we're measuring success, now we
15 took something that was 1 in 5,000, and now we
16 have 15 to 20 percent getting treated. So, the
17 size of our pilot now has gone up significantly to
18 be able to get enough patients who get that
19 particular treatment to measure whether they got
20 the outcome that we wanted to justify the
21 decisions you have to make ultimately of including
22 something in newborn screening or not.

1 Gene therapies are coming pretty
2 fast. There's a ton of them in the pipeline. But
3 just in the last several weeks, two of the SMA
4 babies getting gene therapy have died -- maybe not
5 specifically related to the treatment. It's
6 always hard in a disease as severe as that to
7 distinguish what was caused by the disease itself
8 and what might be a treatment related loss. So,
9 it's very complex and very hard in rare diseases
10 to sort through these things. But, you know, our
11 sense is that the primary targets are going to be
12 those things for which we have that outcome data
13 and everything else is going to be a more
14 secondary or incidental-type of finding that the
15 clinical world will have to deal with.

16 So, we have significant challenges
17 with rare diseases. As I said, I think that
18 underpins many of the problems we're having to
19 sort through in thinking about how do we measure
20 where we are in a pilot study. You know,
21 incidence of disease, we find out, is very
22 different. There are -- I don't know of a

1 condition that was in the Uniform Panel back in
2 2005 when we recommended that panel that turned
3 out to be what we thought it was at the time.
4 Many of them are far more common than we thought
5 once we got to the general population. You know,
6 we're finding that there's a lot of variability in
7 the time of treatment and disease onset that's
8 going to require longer-term data collection.
9 Those are not just one-time collection during a
10 pilot study. And, in fact, if the longer-term
11 goal is to be able to monitor whether a condition
12 continues to perform or not, then, you know, we're
13 going to have to have ongoing centralized data
14 collection.

15 How do we monitor these things over
16 time is really going to be the question. And in
17 rare diseases, the system has certainly found ways
18 to accommodate rare diseases. If you think about
19 the Orphan Drug Act, it recognized that, you know,
20 these drugs would never become available to the
21 patient population if some allowances in FDA and
22 legislation developed a way to give a bit less

1 robust statistical requirements for these rare
2 things but imposed a post-market surveillance
3 period where you have to collect data into
4 centralized databases typically done by the
5 manufacturers and pharmaceutical companies who get
6 that sort of allowance statistically. But now
7 they have to collect data to make sure things are
8 actually continuing to perform the way that they
9 were thought to based on a fairly limited
10 population.

11 And then, I think we're going to have
12 to sort out curation of the genetic variation.
13 One of the worst things we watch at NBSTRN is when
14 a condition goes into newborn screening and we
15 find out that variants of uncertain significance
16 are just flowing out of the diagnostic labs, and
17 we can certainly clean that up. And the ClinGen
18 Project is probably prioritizing newborn screening
19 genes in order to get them curated so that when
20 these conditions go into more formal screening and
21 out of pilots that we actually have a much cleaner
22 view of what the clinical information in the geno

1 means when we're diagnosing.

2 So, I'm going to run through quickly
3 just a couple of slides and give you a perspective
4 on the magnitude of the problem at the population
5 level. So, as I said, I think our goal is really
6 to understand how likely is it that the data we're
7 collecting in the pilot study is to perform when
8 it gets out into routine practice. And so, what
9 are going to be the measures of these things? You
10 know, positive predictive value, I think, is going
11 to be important, but that's an endpoint, and it
12 requires a lot bigger numbers than many of the
13 other parameters do. And we have to do all this
14 measurement and define how we're evaluating
15 things, but then recognize that we do have to
16 build in some accommodations because of the rarity
17 of many of the conditions and subgroups.

18 So, I'm going to show you a couple of
19 examples. You know, our measures of what we think
20 are those that tell us whether something is going
21 to perform in reality as it did in a pilot are
22 really the confidence intervals around the lower

1 and upper confidence intervals. You know,
2 typically we're looking for about a 10 percent
3 spread in confidence intervals in order to -- to
4 feel more comfortable that it's going to pan out
5 in the real world. And coefficient of variation
6 is another measure of the spread of data or the
7 standard deviation around an average, and again
8 it's about a 10 percent -- excuse me, I am dry.
9 So, I'm not saying that these are going to be the
10 measures that we're going to apply but just to
11 have sort of a starting point to look at the
12 numbers, we'll say 10 percent CV or 10 percent
13 confidence interval differences are the measure of
14 whether something is going to pan out in the real
15 world.

16 So, false positives. It turns out
17 that you can accept the false positive rate with
18 relatively low numbers. Now, all of these slides
19 are going to have the same backdrop to them.
20 We're talking in incidence of something that's 1
21 in 10,000. We're detecting every single person
22 that has it. We have a positive predictive value

1 of 20 percent, so 1 in every 5 will turn out to be
2 actual patients. And a false positive rate of
3 0.05 percent, which is a pretty decent performance
4 for a newborn screening test. So, you can see
5 that you get down to a coefficient of variation of
6 10 percent around 200,000. You don't even get
7 near that -- well, these are pretty tight
8 confidence intervals already. So, you can see
9 that we're understanding at the level of a
10 confidence interval pretty early what the false
11 positive rates are looking like.

12 When you think about the detection
13 rates, population sizes are very much tied to
14 that. You can see that if we're doing 100,000 in
15 the population, confidence intervals are pretty
16 broad still. Once we get up to 600,000 in the
17 population, we've got that 5 percent spread in the
18 confidence intervals. So, just to get a sense of
19 how big some of these pilots are when you're
20 looking for rare things in a general population.
21 It turns out, for positive predictive value, it
22 needs very large numbers just to get to things

1 that are in that 10 percent confidence interval,
2 10 percent coefficient of variation range,
3 350,000, and this is for something that's 1 in
4 10,000. You get to some of the things we're doing
5 now, which are 1 in 20 and 50 and 100,000, and
6 these things explode.

7 So, now, you know, if you think about
8 it from that -- that decreasing incidence of
9 conditions and see what happens to the positive
10 predictive value, it really gets killed as things
11 get rarer and rarer, because that's a critical
12 component of the calculation of positive
13 predictive value as the incidence of the
14 condition. And I think you're probably going to
15 have to think about whether you draw lines or
16 prespecify that something -- you need to be around
17 perhaps 20 percent positive predictive value to
18 take it into the general population. If you start
19 getting much beyond 1 in 10, 1 in 5, then you're
20 going to be alarming an awful lot of people to
21 find that 1, and a lot of things will go into that
22 decision. But it's going to be a difficult

1 problem.

2 Now, the false positive rate actually
3 can manage that problem if you stay around a
4 positive predictive value of 20 percent. Then you
5 can see that the false positive rate -- in order
6 to maintain a positive predictive value of 20
7 percent, the false positive rates have to really
8 start dropping precipitously to maintain that
9 performance. So, I think -- thinking a lot about
10 that, the mechanism by which we control false
11 positives is going to be critically important and,
12 you know, we've certainly seen things like the
13 CLIR tools as a mechanism of trying to develop
14 ratios and other things that get us a higher
15 likelihood that if somebody is truly affected out
16 of a newborn screen, there are second-tier
17 biochemical tests that can be very informative.
18 In many cases, we use that right now already in --
19 in CF, where, you know, many go from IRT to IRT to
20 avoid all the carriers that come out of a DNA
21 component of a second-tier test. So, second-tier
22 biochemical tests can perform very well and, I

1 think, are something we're going to have to pay
2 close attention to as to whether then can both
3 manage some of the workforce problems of off-
4 target things getting put out into the diagnostic
5 setting and give us a higher positive predictive
6 value for those individuals screening positively.

7 As I said, workforces are seriously
8 misaligned right now. We had a -- we were
9 fortunate in that Congresswoman Herrera Beutler
10 put into the Labor HHS 2019 Appropriations a
11 Medical Genetics Workforce Study because it's
12 already being recognized that for what's coming in
13 non-invasive prenatal screening and newborn
14 screening that we don't have the workforce that's
15 going to be able to deal with this, and newborn
16 screening programs have the same problem with
17 their staffing as more new technologies come in,
18 more work on the clinical end of interpreting is
19 coming into their programs, and that whole area of
20 complexity is showing that the analytical
21 parameters are becoming very different than the
22 clinical parameters. You know, we can do

1 analytical validation regardless of whether you're
2 a late-onset, non-penetrant, early-onset, it's
3 finding the target. Once you get to the clinical
4 validation side, now you've got a subset of the
5 group in whom you're measuring outcome, and you
6 have quite variable diseases so that, you know,
7 it's very difficult to go from one patient or two
8 patients with a particular condition that could be
9 very different than, you know, other patients with
10 the same condition.

11 So, how are we going to deal with
12 this -- this increasing capacity demand? There's
13 a lot of conditions already ready for pilot
14 studies, a lot of new treatments in the pipeline
15 that change the way we think about what the
16 targets of screening are going to be. So, I've
17 already mentioned, you know, thinking about some
18 of the off-target results, whose problems are
19 they? If we want to open up capacity in the
20 newborn screening laboratories, then they're going
21 to have to rely upon the clinical world, and
22 that's difficult given the tenuous nature of our

1 electronic health record systems in the country,
2 because that's really where you want to be able to
3 maintain things like carrier status that could be
4 used much later in life. You know, so we have to
5 make sure that our workforces are going to be
6 aligned with this coming demand. We need to think
7 about a system in which the very limited data
8 that's available for screening for these can be
9 developed in a controlled and organized way, and
10 because they're rare, I think that does mean the
11 centralized data systems or at least highly
12 compatible data within different data collection
13 systems. And then, think about the alternative
14 financing models, because this is a much broader
15 range of stakeholders. A lot of these same
16 problems are happening across genomics. They
17 happen in the world of getting things into
18 developing countries, and how do you resource
19 that? And public private partnerships have been
20 developing worldwide to deal with some of these
21 really rapidly moving areas of science where your,
22 you know, your capacity to take on what is in this

1 pipeline is very limited, and there are lots of
2 interest groups beyond just the government and
3 thinking about some of those models is going to be
4 increasingly important.

5 You know, we're going to have to
6 figure out the regulatory side. There are model
7 systems for ensuring that rare disease treatments
8 are developed and made available, incentives to
9 the pharmaceutical industry to develop those rare
10 disease drugs. We have a different problem on the
11 diagnostic side, but there's no reason that the
12 same problem, which is rare things on a diagnostic
13 side instead of a treatment side, need some
14 latitude in the system for being able to move
15 forward or else, you know, we have it for the drug
16 side. If we can't identify the patients who are
17 going to benefit from having access to that drug
18 before they're clinically affected, then we're
19 going to really limit the value of those drugs
20 over the longer term. And the Orphan Drug Act has
21 accommodated that, and I think there could be
22 models. FDA already has a mechanism of

1 provisional approval that allows for certain
2 things to be met before it goes to full approval.
3 So, there are systems in place already by which
4 some of these things can be addressed.

5 We'll have to look at reimbursement
6 systems. You know, typically if you think about
7 coverage with evidence development that is a CMS
8 model, or how do you sort of incentivize people to
9 make their data available to understand what the
10 answers to a particular problem are, you know,
11 coverage with evidence development does that. But
12 it happens on a much tighter scale, typically,
13 than our problem is going to accommodate. But the
14 first problem is, what don't you get paid for
15 today that would be an incentive? So, cycasin,
16 for instance, for Krabbe -- providers can get paid
17 for cycasin testing because it's done in
18 asymptomatic individuals to determine whether or
19 not they're likely to be preclinical or in the
20 early stages of clinical presentation.

21 So, thinking about how do we fit
22 together some of these various models we have of

1 coverage with evidence development, sort of
2 provisional approval, and then a much broader
3 range of stakeholders to enhance capacity, and
4 certainly to minimize duplication of effort of
5 things that might take place in newborn screening
6 programs as compared to the diagnostic clinical
7 world.

8 So, public funding is limited. I
9 mentioned how much is available now for this
10 committee's review work and for -- to support
11 pilot studies. You know, we're going to really
12 need the centralized data sharing until we have
13 really robust EHRs that do something more than
14 just the business side of medicine. Interesting,
15 public private partnership models, you know, I've
16 mentioned some of the risk sharing, which is a
17 number of pharmaceutical companies contributed
18 funds to PPMD to support a pilot study in New
19 York. It may be a pilot study that fails, but
20 it's a risk-sharing model. They were willing to
21 invest in it to see whether or not that said we
22 should be screening for this group of patients

1 with Duchenne muscular dystrophy. We have
2 patient-drive data sharing. That works up to a
3 point, and it's what we often think about in
4 developing registries. But, there's very
5 different incentives for clinically affected
6 people to share data than there are for
7 asymptomatic people. They are pretty unmanageable
8 folks on the asymptomatic side. They don't have
9 the same incentives to bring all their data into
10 these systems, and they're the hard group for us
11 to begin sorting out.

12 You have managed-entry agreements in
13 Europe. There are ways by which they're making
14 decisions about oncology drugs and what should be
15 -- how it should be priced, how it should be
16 reimbursed and fit into their systems.

17 So, if nothing else, there's a lot of
18 problems coming. I think you've already started
19 talking about what are the -- the targets of
20 newborn screening, you know, and that's going to
21 be one of the first ones, because I think it
22 translates all the way back into the pilot studies

1 we run, because we have to be able to measure
2 where we are in the course of a pilot to getting
3 us the answers we want, and that's a challenge for
4 Cindy now, I guess. All right. Thank you.

5 DR. JOSEPH BOCCHINI: Michael, thank
6 you very much. And thank you for the
7 understatement of the day that problems are
8 coming. All right. This presentation is open for
9 discussion, questions, and comments. Let's open
10 it to the committee first.

11 DR. MELISSA PARISI: Melissa Parisi,
12 NICHD. So, Mike, thank you for that presentation.
13 I thought it might be helpful for this committee
14 and group to know a little bit more about the work
15 of ClinGen in particular with regard to the Inborn
16 Errors Working Group, the Expert Curation Panel,
17 and the fact that the determinations for molecular
18 variants that are identified now have FDA
19 determination and weight to them such that they
20 can be used by newborn screening programs when
21 molecular testing is a part of their screening
22 algorithms.

1 DR. MICHAEL WATSON: Yep. So, I --
2 I'm one of the co-PIs in the ClinGen -- the
3 Clinical Genetics Genomics Resource Initiative,
4 which is all about clinically curating the
5 magnitude of gene relations to disease and
6 determining the pathogenicity of variants within
7 the genes that are parts of tests. You know, it's
8 an interesting problem, and from a newborn
9 screening perspective, I think the mendelian
10 disorders, you know, where you know that gene and
11 it's pretty well validated have been pretty
12 straightforward. Our problems come in the
13 phenotype-driven kinds of screens like T-REx
14 assays where now we have 50 genes. There have
15 been publications over the last probably six
16 months showing that what we thought here genes
17 that should be screened are sometimes awful --
18 have very weak associations with diseases. For
19 hypertrophic cardiomyopathy, there were like 35
20 genes being tested in labs all over the country.
21 After curation, it turned out 8 were strongly
22 associated with hypertrophic cardiomyopathy, 3

1 more moderately well associated, and whole bunch
2 went away. Same thing with Brugada syndrome,
3 where a whole bunch -- it was like 22 genes being
4 tested in most laboratories for people at risk for
5 Brugada or who presented with Brugada syndrome.
6 One gene turned out to be strongly associated.
7 All the others were sort of things that had
8 biological plausibility or other fairly weak
9 associations with the disease itself. So, I think
10 our office -- Meredith Weaver in my office --
11 coordinates the metabolic disease workgroups, and
12 we are prioritizing all the newborn screening
13 genes associated with metabolic diseases in order
14 to hopefully clean up that first stage of
15 diagnostic followup that takes place after the
16 screening takes place. But I do think that
17 getting that curation -- and we're working with
18 partners. We're talking to groups that have
19 interest in specific diseases and genes to see
20 whether or not they're interested in getting
21 involved in curating those and getting them
22 cleaned up before they get out into practice.

1 DR. MELISSA PARISI: And can you say
2 something about the FDA determination in January?

3 DR. MICHAEL WATSON: Yeah. So, that
4 is -- that's actually -- for rare diseases, that's
5 really an enormous value that FDA will recognize
6 the ClinGen-curated parts of the ClinVar database
7 that NIH maintains as being the clinical validity
8 of how you're going to call out a variant as being
9 benign, uncertain, or pathogenic. You know, I
10 think that's partly why we have laboratory
11 developed tests in the United States was there was
12 never a way for a pharmaceutical company or for a
13 devices company to develop a test for a rare thing
14 and ever get a return on its investment for that
15 when it's tested in so few people when it's a
16 really rare condition, and that led labs to
17 develop laboratory developed tests, because there
18 was no return on investment for the industry side
19 that would have developed those tests and sold
20 them as kits to laboratories. So, it's a problem,
21 you know, that is really because of the rarity.
22 Genomics may change that. We'll have to wait and

1 see. But, yeah, I think having FDA having
2 recognized that certainly makes it easy for every
3 lab in the country now to say -- to meet the
4 requirements for what are you going to do with the
5 results that come out of your machine, because it
6 basically says, this is the clinical validity
7 database, and I'm going to call it as that
8 database defines that particular variant. So it -
9 - it really lowers that bar on that clinical trial
10 expense that comes for finding rare things in the
11 population and allowing labs to have the kind of
12 data they need to have more accurate diagnostic
13 reports and -- and even in the screening
14 environment when those become the second-tier
15 tests.

16 DR. JOSEPH BOCCHINI: Kellie.

17 DR. KELLIE KELM: Kellie Kelm. And I
18 just wanted to clarify that the recognition only
19 supports the use of that database for FDA pre-
20 market submission. So, how other people want to
21 take that recognition and use it, that's up to
22 them. But FDA's recognition was for its use in

1 FDA submissions -- excuse me -- only.

2 And I did want to comment about your
3 -- you talked about there being some other process
4 like orphan drugs for tests, and we actually do
5 have a humanitarian device exemption program for
6 high-risk things where the numbers -- and I
7 forget, they changed under legislation years ago -
8 - it was under -- it was like 8,000 people per
9 year. So, the process, though, you have to show
10 safety and probable benefit, which is lower bar,
11 but the problem is that you can only recoup the
12 money that would be for R&D. You're not allowed
13 to actually profit. So, I mean, that's how
14 Congress set it up. It's not something that, you
15 know, I think a lot of people dislike the fact
16 that you can't -- that there's limits on the
17 program.

18 MR. MICHAEL WATSON: Yeah.

19 DR. KELLIE KELM: But, you know, that
20 is what it is, and screening -- the difference
21 there is the fact that you're testing so many kids
22 that, you know, you obviously need to -- it's not

1 only being used on a small number of kids. But,
2 you know, most of the studies that we see for
3 those submissions are the kinds of exact studies
4 that I know the states are already doing, and in
5 many cases are, you know, small retrospective
6 studies. And so, you know, something to keep in
7 mind.

8 MR. MICHAEL WATSON: Yeah. No, I do
9 keep it in mind because I, you know, it's in
10 Congress' hands right now with the VALID Act that
11 is being drafted -- the discussion drafts a VALID
12 route now, which is essentially going to make LTDs
13 and in-vitro clinical tests and bring the labs in
14 as manufacturers essentially under some level of
15 regulatory oversight. We'll see how it goes.
16 It's had difficulties in the past getting through.
17 But right now, they seem to be better aligned than
18 they have in the past about how we're going to try
19 to get control of the laboratory side of some of
20 this.

21 DR. JOSEPH BOCCHINI: Carla.

22 DR. CARLA CUTHBERT: All right.

1 Thank you for that talk. I have a quick question.
2 I think I understand that a multiplex pilot study
3 would be, but what's a virtual pilot?

4 DR. MICHAEL WATSON: A virtual pilot
5 -- so that basically says that we already have a
6 bunch of, I mean, a lot of this data is already
7 available. It's a matter, you know, if a lab ran
8 a full tandem aspect profile of the aminos, then
9 they know which people had low leucine --
10 isoleucine or low valines or low cerein. Now,
11 what we need is the clinical data about those
12 individuals and, you know, that's a difficult
13 problem in the public health world that doesn't
14 always want to be sort of aligned with the
15 perception of doing research. And, you know,
16 clearly, we are -- we're going to -- it's a model
17 that's been used sort of peripherally in the past
18 where we go out and find providers who are taking
19 care of certain types of patients. We then ask
20 those patients -- and this has happened in many of
21 the early-stage pilots -- once you identify those
22 people, find their blood spot, you can now ask the

1 question of whether you could have detected them
2 in a newborn screening blood spot or not. But the
3 virtual pilot says that we have some of the data
4 already available and now just need a piece of it
5 and, you know, most of the followup side has been
6 sort of relegated to the reimbursement side of
7 health care rather than being a funded kind of
8 study.

9 DR. CARLA CUTHBERT: So, is that
10 instead of being a prospective it's looking back
11 retrospectively to look at all of the pieces?

12 DR. MICHAEL WATSON: Yeah. In fact,
13 California and Mayo and others have already pilots
14 for some of these. So, it's a matter of capturing
15 the data from the pilots they already ran that are
16 what allowed them to make decisions about
17 including it on an ongoing basis in their
18 screening programs.

19 DR. CARLA CUTHBERT: But these
20 children would not have been identified, I mean,
21 I'm just trying to understand. These kids would
22 be identified clinically, would not have been

1 captured within an early newborn time point, and
2 so the benefits may not be the same, right? Is
3 that --

4 DR. MICHAEL WATSON: Yeah, I mean --

5 DR. SUSAN BERRY: I can give an
6 example. Would it be helpful to have an example?

7 DR. SUSAN BERRY: Yeah, so, for
8 example, in Minnesota when Mayo was running the
9 MS/MS part, they -- routinely when they found low
10 methionine would pursue that and then share that
11 information with us, even though it wasn't on the
12 pilot because the way our statute was set up is
13 they had things that were on the screen, but if
14 they found something of significance, they would
15 pass it on. We picked up children with cobalamin
16 G, for example, with low methionine because they
17 found it and they called it out, even though it
18 wasn't formally part of the screen. And so, for
19 many years, that was part of one of the things
20 that happened for us. The same thing was true for
21 low citrulline.

22 DR. MICHAEL WATSON: And you see

1 variations on that and, you know, when you look at
2 Washington State and the way it does its pilot
3 studies, which are fully anonymized, for many of
4 the LSDs. You know, these are rare things. They
5 may find something and say okay, somebody in this
6 anonymized group is going to have this disease and
7 then they're waiting for that person to present
8 for care later and, you know, but a lot of these
9 variants are very rare. So, when the person comes
10 in and you find the variant, you know they are
11 actually one of the anonymized people from your
12 pilot study, and that's not, you know, that's a
13 difficult model, I think, when the public says
14 somebody knew, you know, before becoming
15 clinically affected, and yeah. So, addressing
16 some of these -- these kinds of problems, I think,
17 is going to be important to the sort of next
18 paradigm shift in newborn screening.

19 DR. SCOTT SHONE: Scott Shone. Sue,
20 to your example, though, I was sort of tracing
21 with Carla. I don't think it's the same thing,
22 because what you're saying is that -- that

1 cobalamin G was perspective identified -- it was
2 picked up off panel. But, again, the child went
3 through diagnostic workup and followup. What I
4 think -- what, Mike, you were saying in terms of a
5 virtual pilot is going back and reanalyzing data
6 and then what you just said is perhaps because
7 it's rare, you can eventually link that, and Mike
8 Gelb talked about that in some of what they've
9 been doing in Washington.

10 DR. MICHAEL WATSON: Yep.

11 DR. SCOTT SHONE: But I want to get
12 back --

13 DR. MICHAEL WATSON: Our goal is to
14 get the data, right? And if the data already
15 exists, then how do we bring it together so, like
16 any other pilot, we're able to look at it and make
17 decisions about whether or not it was appropriate
18 -- it might be appropriate as a target of
19 screening.

20 DR. SCOTT SHONE: So, I agree with
21 that, and I think that that gives us one piece,
22 and we've had this policy discussion many times.

1 In the briefing book was the report that came out
2 of the Pilot Studies Workgroup from a couple of
3 years ago. But I still think that in the scope of
4 the pilot study discussion, we need -- I just want
5 to make sure we're on the same page that there
6 still needs to be this system -- this system-based
7 perspective pilot study that assesses that every
8 piece of the newborn screening system is going to
9 work together to effectively, accurately identify
10 a child with a condition, that while each of these
11 examples are sort of just like subgroups of
12 disorders that are now being targeted, subgroups
13 of an all-encompassing pilot study, there is a
14 need to ensure that the scope of the pilot study
15 that is used as part of the evidence review is all
16 encompassing and that we have a -- we can have --
17 it's not just cherry picking this and then putting
18 it together in the end, and I realize that's a
19 high bar. But I do think that high bar is
20 critical for this group.

21 DR. MICHAEL WATSON: Yeah, I do too.
22 You know, I do think it's going to -- it's like

1 the five-of-a-kind model that FDA uses, you know.
2 As you bring more neuromuscular diseases into
3 newborn screening, you will have already
4 identified your provider of population that
5 they're going to go out. So, every new one that
6 comes in isn't going to require the same system
7 issues of sort of defining, you know, everybody
8 that's needed to ensure the care of that
9 individual. I thought you were going --

10 DR. SCOTT SHONE: No. Just to add --
11 but I have a separate question, though. So, I
12 agree that in the scope of -- so, with what Alex
13 was talking about yesterday with the evidence
14 review and sort of the multiplex pilot, juggled
15 two things in my mind. One is sort of like what
16 Melissa is proposing to do with a whole host of
17 different disorders or multiple subtypes of say
18 the class of disorders. And so, how -- do you
19 have -- have you thought about in the scope of
20 what's going to come out of these pilot studies,
21 how the evidence review is going to work to look
22 at that kind of thing.

1 DR. MICHAEL WATSON: Well, I've
2 thought about it and, you know, I don't know the
3 answer necessarily, because there's lots of
4 parameters around what you might multiplex. You
5 know, you can multiplex around the testing
6 platform so that if you're, you know, in tandem
7 mass spectrometry, you could do everything in a
8 pilot of tandem mass spec. You could run the
9 pilot around the specialty providers who deal with
10 that patient population. So, there's different
11 ways you might develop, you know, some of these
12 multiplexed or groups of things around how you --
13 if you're multiplexing and the question is all
14 about the clinical outcome, then multiplexing
15 things that are going to the same set of providers
16 is one possibility, having it on the analytical
17 end is another, you know, is another possibility.
18 But there's so many, I think, that doing all the -
19 - and, in fact, if -- if the LSDs do inform each
20 other as to, you know, as to what the analytical
21 validity of a result might be, then running them
22 actually may have value as well -- running them as

1 a multiplex in a pilot.

2 DR. JOSEPH BOCCHINI: So, I'm going
3 to open this up to the organization
4 representatives and those on the phone if there's
5 one quick question. Debra.

6 DR. DEBRA FREEDENBERG: So, I'm
7 certain you can really answer this, but given the
8 number of conditions that are waiting in the wings
9 and pilots are starting, and the clinical
10 workforce shortage, as well as both newborn
11 screening program workforce shortages, and
12 competing priorities, do you have any vision of
13 how this is all going to translate?

14 DR. MICHAEL WATSON: No. Sadly, you
15 know, I do think it's the -- it's the -- it's a
16 system problem of how you fit it all together and
17 how you finance it. That's why I do think that
18 other models than just expecting the federal
19 government to be, you know, the major contributor.
20 The state governments already contribute a fair
21 bit with -- through their newborn screening
22 laboratories. You know, we have other

1 stakeholders. The Mayo -- even though it has a
2 patent on the CLIR risk analysis tools -- has
3 exempted it from all patent enforcement when used
4 in the area of newborn screening. So, that
5 becomes part of a public private partnership
6 contribution to managing some of these problems.

7 But, you know, it's -- it's this rare
8 thing in the big population that we have to deal
9 with. I think it's going to take getting that
10 data started at the pilot study stage, continuing
11 that in a post-market surveillance environment so
12 that we continue to make sure that the test is
13 performing as we thought it would. And then, if
14 you ultimately want to get to the point where
15 you're able to monitor as a committee whether or
16 not, you know, something is doing what you
17 expected, then you're going to have to have that
18 same kind of data. So, you know, I think a
19 centralized data-kind of system or at least
20 compatible data that's in different systems is
21 going to be important to a number of steps of
22 ensuring that the performance of these screens is

1 doing what we had thought it would.

2 DR. JOSEPH BOCCHINI: All right.

3 Michael, thank you very much for this thoughtful
4 presentation. I think that the same parameters
5 could be considered for -- as we look forward to
6 the potential of looking at conditions that are
7 already on the RUSP to apply some of these same
8 principles to evaluate test performance and -- and
9 understand what we're really identified. So,
10 thank you.

11 All right. Next on the agenda is a
12 presentation by Dr. Baker. Mei is the head of the
13 -- the Chair of the Ad-hoc Workgroup focused on
14 interpreting newborn screening results and has an
15 update for the full committee. Mei.

16 AD-HOC WORKGROUP - INTERPRETING NBS RESULTS

17 DR. MEI BAKER: Good morning,
18 everybody. Before I start, the one thing I want
19 everybody to know, especially committee members,
20 we have some modification in terms of charges. If
21 you recall last meeting on March 27th -- this was
22 through the webinar -- we were in charge in two

1 charges. One is titled here and is Interpretation
2 of Newborn Screening Results and also, we've been
3 asked to address cut-off to see if we can come up
4 with some recommendations. And yesterday morning,
5 we met, and we -- it's a consensus from the whole
6 group for two reasons that we did some
7 modifications. The first thing is when we
8 discussed the first charge, since things are
9 getting more and more complicated very quickly and
10 the world needs our attention. And second part is
11 the two charges put together to discuss sometimes
12 among ourselves can get maybe a little bit
13 confused. So, we want -- we also think the second
14 charge is such an important topic, we think it
15 needs our full attention. So, we're going to
16 table this aside for the time moment and then
17 address it later. So, now it's everything
18 regarding previously charge one.

19 So, this is our group. I hope it's
20 well represented in most disciplines and I want to
21 emphasize a new member, Kyle Brothers, new-coming
22 member in the Ad-Hoc Group, so welcome.

1 In terms of how we address, we set up
2 the charge forward. This is what we call our
3 method of approach. First, we create a report to
4 the committee and also, based on the report, hope
5 to generate some publication, and the third one is
6 to create some education material. So, we talk
7 about work trend and dissemination. So, this is
8 kind of embedded in that because trying to get a
9 publication, trying to create some slide stack,
10 it's for dissemination purpose.

11 So, how we envision this report
12 structure, and this is not new to you, but I'm
13 just trying to put it together and emphasize. So,
14 we have three parts. Part one is introduction.
15 Part two is address or describe the current
16 practice. The third one is the discussion and we
17 hope naturally comments, suggestions, or
18 recommendations.

19 So, what do we want to accomplish in
20 the part one? So, this is the thing has been
21 evolved, and our purpose yesterday morning was
22 trying to say do we include everything we want to

1 address. So, I hope committee members and
2 audience members can help us. That's two slides,
3 just so you know. First, we want to address
4 rationale, and then, you know, going to the
5 literature search. I think we're not alone, and
6 come to the medical screening, indeed sometimes I
7 think the emphasis is on benefit. We don't talk
8 about limitations and we put it in the position
9 that it's created this -- this, you know,
10 unrealistic expectation. So, I use the words
11 trying to be more neutral, so we think of
12 transparency in terms of benefit and limitation.
13 It's important.

14 So, the second part of each line is
15 really indicative of the target audience. So, we
16 want to target the health organization and also
17 our parents and public. So, that's just a general
18 thing. And other things that we did a lot of
19 discussion is terminology, because this is a very,
20 very sensitive topic. People use harmonization,
21 talk about standardization. So, for the time
22 being, we want to use a consistent -- this is

1 stated in the literature. So, I think again,
2 we're not alone. I think it's important, and we
3 hope we come to some consensus.

4 And the second part we want to
5 address, it's the knowledge gap and also what's --
6 really point out what's our attainable
7 expectations? So, screening, coming to the
8 diagnosis that we will address further. So,
9 there's a list here. I don't think anything is
10 new from last committee meeting.

11 So, another thing we want to address
12 is to go to a more deeper concept of newborn
13 screening, population screening, diagnosis, and
14 then it would come to the testing. And actually,
15 you can find it in a literature search, and
16 somebody that has done some work, most are
17 familiar with Region 4, come to this material have
18 a table to compare with, so the tests are
19 different and also have APHL QA committee also to
20 talk about what's the screening entail.

21 So, I think in those things, we want
22 to be sure, no matter what you do, no matter where

1 you cut, to always have exceptional. People need
2 to realize that. If anything happens, you change
3 your whole system, and that's not the only way to
4 address the issues. So, we need to state this,
5 and people understand that.

6 So, part two. So, what is the current
7 practice? So, we want to collect the data and
8 understand how we do that. I do believe from my
9 heart -- and I know that because I believe it
10 every day -- it really is screening in mind. But
11 I think we can just describe certain evidence, so
12 the public understands. And I think the key thing
13 here is threshold based. Also, we do the
14 categorical, I mean, categorize what we do. So,
15 this is very different mindset than currently, and
16 also, I do believe every single case -- screening
17 part of the case -- we already say please do the
18 clinical confirmatory test -- do the clinical
19 assessment. So, this is an important concept.

20 And again, continuing we talk about
21 the report, and I think hopefully it's inclusive
22 of everything and that, you know, at our meeting

1 yesterday, we talked again about two screenings.
2 So, we'll maybe even address it a little bit more
3 in the discussion portion.

4 Part three is a discussion -- it's
5 where we think we need to do more risk assessment
6 more clear so people understand what it is.
7 Another thing I feel what we are doing now, and
8 actually I've been asked questions, if like other
9 entities are doing similar things, why do this. I
10 think the one thing that sets us apart is we
11 perhaps intentionally use the newborn screening
12 report as a vehicle constantly every single time
13 as a reminder of our primary care physician. We
14 will have an interpretation for normal newborn
15 screening or screening negative results indicating
16 that even this is negative, but the clinical
17 symptoms take a precedent. You do need to assess
18 that. So, every single time instead of reading
19 the material, it's like every single report is a
20 constant reminder. We hope this will be more
21 effective. Again, we will address the
22 terminology, the clarification, and consistency,

1 and we hope we can come forward with a strategy
2 for the communication. I think for this part, we
3 must rely on the work that has been done by
4 education subcommittee. They have some material.
5 We hope that we can further disseminate this work.
6 And I think this is the most part, and we will use
7 it to address and improve the newborn screening.
8 So, we make this risk assessment better for
9 whatever.

10 So, that is the revised timeline, and it
11 largely stays the same. We hope we are still on
12 track. And one thing I want to mention that we
13 didn't spend much time talking about
14 dissemination, but the concept is still here.
15 Since I haven't mentioned that, it is a
16 potentially to do the professional conference to
17 give presentations, also through our other
18 organizations to disseminate what have we learned.
19 Fortunately, in our group, we have a lot, I mean,
20 other organization groups will help us to do such.
21 Thank you.

22 DR. JOSEPH BOCCHINI: Mei, thank you

1 very much for that thorough report. I think we're
2 going to now just ask that the committee members
3 and org reps and others who have input that they
4 want to give to Mei or the workgroup to go ahead
5 and do that directly or through HRSA so that they
6 can give feedback on the process which I think is
7 going very nicely. And I want to thank the
8 workgroup. It think the representation on it is
9 very strong, and I think having the laboratory
10 standards and the education and training
11 workgroups represented on this committee will sort
12 of help to organize some of the things that Mei is
13 talking about in terms of providing educational
14 opportunities and for providers as well as for the
15 public. So, thank you, Mei, for your work. I
16 appreciate it.

17 So, next on the agenda is a
18 discussion or presentation about two registries.
19 At our March webinar meeting, we heard about
20 federal and national level research resources for
21 rare diseases, and at this meeting we want to
22 bring -- to begin to hear from rare disease

1 registries the data that's collected and the
2 research efforts that are made for conditions
3 currently on the RUSP. We anticipate continuing
4 to hear from the field about research and data
5 resources for rare disorders at future meetings as
6 well. Our goal is to determine the role these
7 registries might play in providing data for
8 evidence reviews as well as to state for long-term
9 followup.

10 So, first we're please to have Dr.
11 Bruce Marshall here with us to talk about the
12 Cystic Fibrosis Registry. Dr. Marshall is Senior
13 Vice President for Clinical Affairs at the Cystic
14 Fibrosis Foundation. He joined the organism --
15 organization -- I'm starting to sound like Dr.
16 Kemper. He joined the organization in 2002 and
17 directs the clinically related activities of the
18 foundation including the Care Center Network,
19 Quality Improvement, Clinical Practice Guidelines,
20 Patient Registry, and Educational Resources. Dr.
21 Marshall was a faculty member at the University of
22 Utah School of Medicine, where he served as the

1 Founding Director of the Adult CF Program, a role
2 that he played from 1989 to 2002. So, Dr.
3 Marshall, we welcome you to the meeting. Thank
4 you.

5 CYSTIS FIBROSIS REGISTRY

6 DR. BRUCE MARSHALL: Thank you.
7 Thanks very much. Appreciate the invitation to
8 share our experience at the CF Foundation. First,
9 a confession. I'm an internist and pulmonologist.
10 I don't know very much about newborn screening,
11 but I've learned a little bit since joining the
12 foundation because it's such an important aspect
13 of what we want to do, which is intervene early
14 and prevent complications. So, I've learned a
15 little bit.

16 So, we're based just a few stops down
17 the Red Line in Bethesda, so it's a pleasure to
18 come up to this part of Montgomery County. I'll
19 let you read through my disclosures; business
20 relationships related to the registry for the
21 conduct of post-approval research studies. This
22 is what I'd like to do over the next 15 minutes or

1 so and then leave plenty of time if there are
2 questions. Just to provide a little bit of
3 context for those of you not as familiar with
4 cystic fibrosis, go over the basics of our patient
5 registry and how the registry data is used. A
6 little bit about the intersection of the registry
7 and newborn screening and then hopefully time for
8 Q&A.

9 So, you're all probably familiar with
10 this -- autosomal recessive disease. About 35,000
11 people in the US, about 100,000 worldwide, most
12 common life-shortening inherited disease of
13 Caucasians. It's a complex, multisystem disease
14 with a majority of deaths due to chronic lung
15 disease. And I'm not going to go through this
16 slide in detail, but it goes through the multi-
17 system nature of this disease with chronic
18 sinusitis, airways disease that result in
19 bronchiectasis. A minority of patients have had a
20 biliary disease, pancreatic insufficiency,
21 exocrine insufficiency, and about 85 to 90 percent
22 of the population with milder genotypes. Sweat

1 chloride still an important diagnostic test,
2 elevated sweat chlorides, and in males,
3 obstructive azoospermia. And there are different
4 flavors of cystic fibrosis. Those with a severe
5 genotype to the left -- depicted to the left, and
6 those with sort of a milder genotype depicted to
7 the right with one of the major differentiating is
8 they have typically adequate pancreatic function.

9 There are other important co-
10 morbidities. As this population ages, we're seeing
11 new things. CF-related diabetes is highly
12 prevalent in adolescents and adults, anxiety,
13 depression, other psychosocial issues, allergic
14 bronchopulmonary aspergillosis, non-tuberculous
15 mycobacteria. These resistant types of infections
16 and allergic responses are significant problems.

17 As you know, there have been major
18 advances in cystic fibrosis, and many of them
19 track back to the discovery of the gene. This
20 goes back to 1989, in fact is when I joined CF
21 Care and Research at the University of Utah. And
22 this is one of my favorite figures. This is a

1 young man looking back -- he's about 20 years of
2 age, looking back at a picture of himself on the
3 cover of Science Magazine, and this is where the
4 major mutation in the gene was described.

5 So, again, just to provide some
6 context, the foundation -- we have broad scope of
7 activities from the most basic research all the
8 way through to a direct contact with people with
9 CF and everything in between. And this is the
10 space that most of my activities -- most of my
11 effort is focused, around our Care Center Network.
12 There's a peer accreditation system that's been in
13 place for many years. The patient registry that
14 I'm going to talk a little bit more about.
15 Quality improvement that we've pushed hard on over
16 the last 15 years or so. Clinical practice
17 guidelines to set a framework for care in CF.

18 So, just a little history about the
19 patient registry, it takes back to the '60s -- the
20 late '60s. It was started by Dr. Warren Warwick
21 at the University of Minnesota based on a grant
22 from the foundation, sort of a paltry sum of

1 money. But he was able to start -- start a
2 registry and carried it forward for a number of
3 years until the -- the early to mid '80s. Dr.
4 Bell -- Bob Bell -- sort of an icon in our
5 community assumed the registry -- subsumed it
6 within the CF Foundation, and we've operated it
7 ever since.

8 And I won't go through all of the
9 milestones here other than a personal reflection.
10 Again, back when I was at the University of Utah,
11 I remember completing all the data and sending it
12 on floppy disks. Some of you may remember those
13 floppy disks. And then the modern era of the
14 registry really started in 2003, and that's when
15 we launched the web-based platform. It was a
16 custom-built application and then we were -- it
17 had some limitations. We replaced that in 2010
18 with another web-based application that had
19 additional functionality. And then just this
20 final milestone that I'll mention -- I'll talk a
21 little bit more about this when I talk about the
22 uses of the patient registry. Just in 2017, we

1 launched a web-based application that we refer to
2 as CF Smart Reports. It's to facilitate
3 improvements in care.

4 So, we've deployed the registry as an
5 IRB-approved, patient-consented observational
6 study across all of our care centers. This is a
7 requirement. To be an accredited center, you must
8 participate in the registry. Of course, not all
9 patients consent. We estimate about 5 percent do
10 not offer consent and participate in the registry.

11 We collect a great deal of
12 information, and again, I won't -- I don't expect
13 you to read this slide. It's just a reminder to
14 me. We collect information about diagnosis,
15 demographics, the care delivered, treatments,
16 measurements in screening tests, other conditions,
17 and events. And these are scattered across
18 several case report forms.

19 Data is entered by the care centers,
20 and we incentivize this by supporting the users,
21 and we provide some financial support to all of
22 our care centers, and it's primarily driven by the

1 number of patients that they enter data on and the
2 completeness of that data. And we use the data
3 for multiple purposes. I'll come back to that.

4 The quality of the data is
5 facilitated by edit checks within the data entry
6 fields themselves. If a data entry person tries
7 to go outside those limits, it's not allowed, or
8 they have to go and request an exception. Data
9 entry guidelines that are widely available, we
10 validate key metrics. For example, at the end of
11 every year, we go back to every center and
12 validate the deaths, ask if there are any that
13 have been forgotten, et cetera, as well as
14 transplants, another important metric for us. We
15 go through an annual processing of the data, in
16 particular, de-duplication. Our patients tend to
17 move around, you know, when they graduate, and
18 they go to college. They may move to another
19 city. So, we don't want duplicate records
20 entering the system. And then we spend time with
21 the people that enter the data. Every year, we
22 have a conference, and we meet with them right

1 before the conference for a half-day session and
2 thank them for all they do and educate them on
3 changes and where we've seen some idiosyncrasies
4 in the data that we think may relate to the data
5 entry process. So, we're constantly trying to
6 improve the quality of the data.

7 We also do selective, mostly random
8 audits, but if we suspect through a review of the
9 data that there are some concerns about a
10 particular center, then they'll be a for-cause
11 audit, and we'll go in and we don't sample every
12 data element. We pick and choose the most
13 important data elements, and we look at those and
14 compare to the source document -- the electronic
15 medical records. And this has been -- the most
16 important thing we've found with this is the
17 informed consent process is a little sloppy. And
18 this is run primarily by clinically oriented
19 people and not clinical research people, so we've
20 really worked hard to sort of police that up
21 through our accreditation process. But otherwise,
22 the data is, for the most part, very complete and

1 quite accurate.

2 We used the registry data in multiple
3 ways, and we consider it one of our crown jewels.
4 We use it -- the primary purpose when it was
5 formed by Dr. Warwick many years ago was just to
6 track at a national level the natural history of
7 the disease and the impact of therapies that were
8 being delivered. All the way to the left, you
9 might call it disease surveillance. It's an
10 important framework for clinical trials in terms
11 of assessing feasibility, number of events that
12 might be studied in a clinical trial. I mentioned
13 post-marketing studies. This is something that we
14 added about ten years ago, built this business on
15 top of our registry, and it's been an important
16 revenue stream. We've used it extensively to
17 drive improvement in care. The main reason I
18 joined the foundation was quality improvement to
19 try to drive improvement and then for research
20 purposes.

21 Just to give you some examples of the
22 reports that we generate on an annual basis, we

1 generate a highlights report. This is typically
2 two to four pages with various icons, catch the
3 eye, something that somebody could post on social
4 media to get, you know, to get attention to the
5 registry, and it's just, you know, as the title
6 indicates, just top-line highlights, and then a
7 very detailed annual data report that we post
8 online and we distribute to all our care centers,
9 widely available. It's 80 or 90 pages long. It's
10 paradise for nerds. They love -- like me -- I
11 love developing this report and disseminating it.

12 And then, each center gets their own
13 report. So, these are the important metrics that
14 we send back to each center showing where they
15 stand with respect to a process and outcome
16 measure, vis a vis their peers and trends over
17 time.

18 I mentioned CF Smart Reports earlier,
19 and this is a new use of the registry data just in
20 the last couple years. And the idea is we worked
21 to get the registry data more quickly. We
22 encouraged our care centers to enter the data.

1 We've worked out a process with our vendor -- we
2 use a vendor to collect the data, to bring that
3 data on a nightly basis into our data warehouse,
4 do some limited processing, get that back out into
5 CF Smart Reports in a timely way so the care
6 centers can use this data. It used to be a one-
7 year process, and we still go through this
8 rigorous one-year process for the annual reports
9 that I mentioned, but now we can do this -- if the
10 data gets in -- if the care centers can get the
11 data in -- we can -- we can have it in the data
12 warehouse and out in CF Smart Reports within 24
13 hours. So, this is an important advance for us.

14 And what we've included in this CF
15 Smart Reports -- and I won't go through this in
16 detail -- but one of the reports that our care
17 centers really enjoy and download extensively are
18 these patient summary reports, and they show
19 graphical trends on key metrics. And then to the
20 left, that panel displays some of the key
21 information that may not be easily readily
22 available in the electronic medical record, like

1 their genotype. That's not readily handled in the
2 EMRs. We track hospitalizations and home IVs,
3 overlay that with trends in pulmonary function.
4 And there's much more in the long report that's
5 actually four pages. But most of our centers
6 prefer the one-page version of this.

7 One of the ways that we've encouraged
8 them to use this is pre-visit planning. And those
9 of you familiar with the quality improvement space
10 will recognize Ed Wagner's name. He developed the
11 chronic care model. And one of the powerful
12 change ideas that he's promoted is this idea of
13 pre-visit planning, bringing the care team
14 together before the visits to go over the data and
15 plan for what's, you know, what's to happen at
16 that clinic visit. And our care centers use these
17 patient summary reports to help them prepare.

18 The other thing that we've built into
19 CF Smart Reports is a tool to identify subjects --
20 patients that might be eligible subjects for
21 clinical trials. So, if there's a new clinical
22 trial that's being deployed, we work with our

1 clinical research center to get the
2 inclusion/exclusion criteria, we built a query, we
3 deploy it in CF Smart Reports, announce it to the
4 community, and then if they're screening -- if
5 their center is selected for that study to
6 participate, they can go and just click on a
7 button for that clinical trial, and it will
8 display the names of folks that at least by the
9 criteria that we have available to us may be
10 eligible for that clinical trial. Our research
11 coordinators really love this tool.

12 I thought I'd just give you one
13 example of -- all the way too -- actually in the
14 middle -- a way that we use the registry for
15 research, and it's just a research line that I've
16 been involved in, so I'm very familiar with it.
17 And this is in regard to what is referred to as a
18 pulmonary exacerbation, and this sort of depicts
19 it -- the status on the y-axis is better toward
20 the top and worse toward the bottom. This is --
21 this happens to be a measure of pulmonary
22 function. It's relatively stable. The blue line

1 depicts signs and symptoms. The yellow line
2 measures pulmonary function, and there's a flareup
3 of the disease. Both symptoms and FEV1
4 deteriorate, and then there's an intervention, and
5 it comes back up to baseline in an ideal world.

6 Why are these events important?

7 Well, the events that require more aggressive
8 intervention like a course of intravenous
9 antibiotics, they're very common events. And this
10 is data from -- from our registry. And the gray
11 lines in the background are all the subjects in
12 the registry at various -- various age groups in
13 one-year increments. And you can -- you can see
14 the drop-off as you get into the 30s and 40s,
15 meaning life expectancy now in the mid-40s. And
16 then in red is depicted the individuals in the
17 various ages that experienced two or more
18 exacerbations and blue those that have experienced
19 one or more exacerbation, and these are IV
20 antibiotic-treated exacerbations. So, very common
21 event, major driver of cost. A lot of this care
22 happens in the hospital and has a negative impact

1 on quality of life, and it's associated with
2 decreased survival. And I'll show you just as a
3 slide that again, I don't expect you to read this
4 slide, but it's some work that I was involved in
5 when I was at the University of Utah using the
6 registry to develop survivorship models. And one
7 of the things that we discovered was that each IV-
8 treated exacerbation had an unexpectedly large
9 negative impact on survival -- the equivalent of a
10 12 percent drop in FEV1, which is a pretty
11 significant drop. So, that has -- that and other
12 research has triggered additional research on
13 these pulmonary exacerbations. And again, this is
14 relevant to the registry.

15 We had had guidelines -- clinical
16 practice guidelines in development, and the
17 committee suggested that we needed more research,
18 that most of the recommendations were consensus
19 driven -- very little research on this -- these
20 really important events. So, we conducted a
21 registry embedded observational study to assess
22 feasibility, and there were a few other aims, and

1 they're listed here. It was referred to as the
2 STOP trial. We wanted to expand the capability of
3 the registry, and we found that the registry was
4 able -- we were able to conduct observational
5 studies within -- embedded within the registry.
6 We wanted to establish equipoise for future
7 interventional trials, and we found that yes, this
8 -- the center staff felt like for some designs
9 they would be at equipoise and willing to
10 participate. We used the data from this STOP
11 study to inform future research by establishing
12 variants for key outcome measures, and then we
13 reached out to a number of centers, and they
14 expressed an interest in further research in this
15 area.

16 So, we've gone on -- we've conducted
17 surveys of clinicians and patients and families to
18 help us design a randomized control trial called
19 STOP2, and this is one of the largest trials that
20 we've ever sponsored. I think by the time we
21 complete enrollment, there will be about 1,000
22 subjects scattered across about 50 or 60 centers,

1 and it's aimed at trying to determine the optimal
2 duration of treatment. All of this tracks back to
3 the registry.

4 Now, I wanted to talk a little bit,
5 and I'm a little nervous about talking about this
6 because I don't have expertise, so don't ask me
7 any tough questions here. But I wanted to talk a
8 little bit about the intersection of the registry
9 in newborn screening. We've use it to assess what
10 you might call performance of newborn screening,
11 in particular false negatives, to track the time
12 from birth to entry into one of our care centers,
13 and we used this data for process improvement,
14 feeding that back to states and care centers to
15 help drive improvements, and I'll show you a
16 little data on that.

17 And then, for clinical followup of
18 those that are in this ambiguous category I'll
19 refer to as CF-SPID, CF screen-positive
20 indeterminate diagnosis, and I'll show you some
21 data on that as well.

22 So, here's some newborn screening

1 metrics that I'll share with you, and there's two
2 time periods here. 2010 to 2012, newborn
3 screening was universally adopted in all 50 states
4 by 2010. You can see the median age as what's
5 referred to first care center event. That could
6 be a sweat test, a clinic visit, et cetera, where
7 it looks like there's been a significant contact
8 with a center. You can see there's been a
9 significant -- a statistically significant
10 decrease in the median number of days for that
11 first event. And then in terms of false
12 negatives, you can see that's remained pretty
13 steady at around 4 percent. These are folks that
14 we pick up in the registry, and it -- it appears
15 that they've been missed in some way by newborn
16 screening.

17 Okay. This is -- this is actually
18 where I became interested in newborn screening,
19 because what we found was this ambiguous
20 diagnosis. Actually, in the US, we've called it
21 CRMS. In Europe, they call it CF-SPID. We've
22 sort of harmonized, and we've landed on this CF-

1 SPID, this ambiguous diagnosis. You can't say
2 they don't have CF, and you can't say they do.
3 So, they're typically sweat -- intermediate sweat
4 chloride values. And here's the point. If you --
5 when our care centers enter a new patient, there's
6 a diagnosis case report form, and they can enter
7 CF, CF-SPID -- those options are available. And
8 then, we've recently updated our guidelines on
9 diagnosis of CF with Phil Farrell's leadership and
10 then we started looking at the data, and what
11 we've seen, and I don't know if -- so, the
12 guideline diagnosis is coming down. So, if you
13 look across the registry diagnosis -- what was
14 entered at the care center level -- look across
15 that top line, the CF diagnosis; 126 of these
16 ambiguous diagnoses by applying the guideline is
17 being entered as CF, it's about 40 percent of CRMS
18 patients. So, we don't know for sure. The
19 clinicians may be smarter than us. But we suspect
20 there's some degree of misclassification here, and
21 we're working hard to try to educate our care
22 center docs and staff about -- about applying the

1 guidelines. This, again, is where it caught my
2 attention, because this, we need to be aware of in
3 registry analyses.

4 So, to summarize, for us, the
5 registry has been a highly impactful asset. We
6 use it for multiple purposes and always looking
7 for ways to leverage what is a very rich and
8 granular data set.

9 Developing and operating a registry
10 is labor and resource intensive, but the value
11 continues to increase for us and, as mentioned, we
12 consider it one of our crown jewels.

13 So, hopefully I've left some time for
14 -- for questions and thank you for your attention.

15 DR. JOSEPH BOCCHINI: Dr. Marshall,
16 thank you for showing us the value of the registry
17 and all of the benefits it can provide. So, thank
18 you. Let's open this for discussion. If the
19 operator will open up the lines for organizational
20 representatives, we'll give first questions to the
21 committee. Dr. Tarini.

22 DR. BETH TARINI: Hi, Beth Tarini.

1 Dr. Marshall, it was my understanding -- to
2 confirm my understanding and then I have a
3 question -- it was my understanding that at one
4 point in recent times, the CF Centers were de-
5 identified as to their performance metrics. So,
6 instead of saying Centers 1 through X, the actual
7 names of the Centers were revealed, and there was
8 a number of reasons for doing that, and there was
9 -- to my understanding -- some resistance amongst
10 the centers. Given in newborn screening there has
11 been some resistance to releasing data in some
12 instances because of the perception of the
13 negative effects on performance trump the concerns
14 that, you know, this will lead to improvement,
15 what lessons did you learn in getting through that
16 -- through that period that you can share with us?

17 DR. BRUCE MARSHALL: Yeah. I think
18 you're -- it's a great question. Yeah, I think
19 you're referring to the fact that we worked with
20 colleagues at Dartmouth to develop sort of a risk-
21 adjustment model, you might call it, and then for
22 key metrics, this dates back, I think, to '06,

1 '07. We decided to display those on our website,
2 and there was quite a bit of resistance. In the
3 end, our care centers talked about, well, the risk
4 adjustment doesn't account for this and for that,
5 and people worried about patients would move from
6 one center to another, and I should say
7 clinicians, and clinicians worried about lawsuits.
8 And so, we -- we prepared extensively for this
9 event. I updated my resumé. I thought that I
10 might have to leave the Foundation. But it -- and
11 what -- one of the drivers really was for us to go
12 in that direction was we thought it was the right
13 thing to do. We thought it was ethical. The
14 foundation was started by patients and families,
15 still driven by patients and families. It's not -
16 - we're not a medical society. And we -- the word
17 was out that we had care center performance data.
18 So, we would get a periodic E-mail about -- from a
19 parent worried about their child, could you tell
20 us the top centers. So, in the end, this was a
21 process we went through internally, I would say,
22 probably for 12 to 18 months, and then finally, we

1 just thought it was the right thing to do, the
2 ethical thing to do. We talked through it with
3 our care centers. We gave them long notice. We
4 encouraged them to share their data -- their own
5 data with their departmental and institutional
6 leaders and to share it with their patients to
7 sort of prepare for this event. And, to be honest
8 with you, it proved to be a nonevent. There was
9 really -- there's been no movement of patients
10 from one center to another. I think there's a
11 small slice of the patient population that -- that
12 look at it, and they may choose a center for a
13 second opinion, but we haven't seen mass
14 migration. I think the one place where it has
15 been used is by institutions. You know, they'll -
16 - particularly kind of high-profile institutions,
17 and they think that they should be near the top on
18 the key metrics. If they look and they see that
19 they're mediocre or average, there may be some
20 additional investment of resources. So, I think
21 it's been -- I think it's been a net positive for
22 the community, and if anything, I think we're --

1 we're not transparent enough, you know, we need to
2 go through the process again, and we have other
3 data we know people are interested in. So,
4 hopefully we can become more transparent. So,
5 essentially, it became a nonevent. I'm sure there
6 are ripples out there, but there was no major
7 impact back on us.

8 DR. JOSEPH BOCCHINI: Dr. Baker, Dr.
9 Powell, Dr. Barry.

10 DR. MEI BAKER: Mei Baker. Actually,
11 I'm from Wisconsin, and I didn't even know that.

12 DR. BRUCE MARSHALL: You know Phil
13 Farrell, I suspect.

14 DR. MEI BAKER: Very well. My
15 question is regarding CRMS. I think it's very
16 interesting you put that there. The thing of the
17 justification of newborn screen identified CRMS, I
18 think is because my understanding, I hear the
19 ratio, I mean, the percentage is anywhere from 5
20 to 20 percent CRMS potentially changes to the
21 classic or typical CF. So, I was wondering, in
22 your registry, do you capture diagnosis change

1 over time, and also, it would be very nice to do
2 such a research, so we gather more evidence.

3 DR. BRUCE MARSHALL: That's a good
4 question, and we do -- we do track it. There is a
5 means of changing diagnosis, and we haven't
6 carefully looked at that in the registry, but
7 there have been some publications on that, and
8 it's probably going to be closer to the 5 percent
9 rather than the 20 percent. But we do have that
10 capacity to follow these folks over time. We --
11 we don't think we're getting all of them. You
12 know, so there's some -- there's some bias. As
13 mentioned, we don't get all the CF patients.
14 There's some that don't -- that don't consent, but
15 it's a relatively small number. CRMS, we think,
16 the percentage that we don't get is higher. But
17 we do have the ability to track, and we do see
18 some changes to full-blown CF, but on the milder
19 side. You know, they're not going to convert to a
20 severe genotype. They're -- they're going to
21 convert to that sort of pancreatic sufficient is
22 what we think over time.

1 The folks that we've worked with,
2 you've mentioned and you've very familiar with
3 Phil Farrell. We've also worked very closely with
4 Clement Ren, Suzanna McCauley, very interested in
5 newborn screening. So, any wisdom related to
6 newborn screening on our registry comes from those
7 three folks.

8 DR. CYNTHIA POWELL: Cindy Powell.
9 So, I have two questions. One is how do you
10 control access to the registry? For example, if a
11 committee such as ours wanted to get some
12 information about the long-term benefits to
13 children identified through newborn screening, how
14 would one go about that? And then secondly, is --
15 regarding the long-term financial sustainability,
16 I know the CF Foundation does a tremendous amount
17 of fundraising, you've also been able to reap the
18 benefits of some of the drug patents, I think, or
19 in the past. But, you know, that only can go so
20 far. So, long-term, how do you, you know, plan to
21 continue to be able to support the CF Centers and
22 this data entry?

1 DR. BRUCE MARSHALL: Yeah, good
2 questions. I'll talk about the sustainability
3 first. You're right. We, you know, we've been
4 very fortunate. We have an abundance of
5 resources. The registry was initially funded and
6 sustained by donations, you know, it came through
7 philanthropy, and still, it's -- it's still a
8 source of support. One of the ways that we
9 supplement that is through building post-approval
10 research business on top of the registry. And
11 what we found is actually the data we have is very
12 valuable, and, you know, if the EMA or FDA
13 mandates studies, the registry can be used as a
14 basis for that study. Sometimes it doesn't even
15 require any change. They'll just accept the data
16 that we collect. So, that is trivial effort for
17 us, and we develop a licencing agreement and we
18 don't give it away, you know, we try to price it
19 at market value. I mean, we, you know, we -- we
20 scope it out. Our registry vendor is familiar
21 with this space. They help us. It's like, okay,
22 if pharma came to you, how would you price it out?

1 And then -- then we give them a little discount,
2 so they don't go elsewhere. So, it's -- so that
3 we generate quite a bit of revenue from that now,
4 and it supports the operation of the registry. It
5 doesn't support everything we do for the Care
6 Center Network, but it can be a fairly generous
7 source of revenue if you have new therapies coming
8 through and they come with post-marketing
9 requirements. And then, what was your other
10 question?

11 DR. MEI BAKER: Access.

12 DR. BRUCE MARSHALL: Oh, access.

13 Yes. We do accept external requests for data. If
14 you E-mail me, I can put you in touch with the
15 right person. There's a peer-review process, and
16 we ask that you have some contact with one of the
17 care center docs so, for example, Clement Ren,
18 Suzanna McCauley, and anybody that has some
19 association with one of our accredited care
20 centers as sort of a co-investigator, you might
21 say, just to help provide context for CF and so
22 it's open to external requests, and we probably --

1 I don't know -- I think we probably provide about
2 20 to 30 data sets a year based on external
3 requests.

4 DR. JOSEPH BOCCHINI: Because of time
5 constraints, I'm going to give Dr. Berry the last
6 question.

7 DR. SUSAN BERRY: I was particularly
8 interested in the data entry element of what you
9 described, and one of the things that's often been
10 sort of a pipe dream for registry lovers is to be
11 able to connect directly or in some way mine from
12 EMR either through standard data sets or creating
13 back spreadsheets or generating notes from data
14 entry or any other element that facilitates
15 documentation in the EMR and at the same time
16 brings data into a data registry. Have -- as an
17 opportunity with resources, have you guys explored
18 this, and what progress have you made?

19 DR. BRUCE MARSHALL: Yeah, it's a
20 great question, and we've actually explored it
21 over the last several years and just in the last
22 year, we launched a pilot that's ongoing now. We

1 have, I think, about five centers in that -- in
2 that pilot, and we're working with our registry
3 vendor on this. From a technical standpoint, it's
4 certainly doable, but it is resource-intensive
5 because it's all -- it's all one-off. Each center
6 has their own compliance office and IT officers,
7 et cetera, et cetera, and that's -- that's the
8 burden, getting through that technical side of it
9 is pretty straightforward. But, you know, we have
10 about 280 programs, maybe -- maybe 180 distinct
11 medical centers. So, you know, you start -- you
12 start to add up the numbers on what it takes to
13 get everybody online. So, where we've started the
14 pilot is places that are significant size, that's
15 going to save some effort. Where there's a link
16 to the -- to the IT department and then we can get
17 them engaged, and then some sense of the
18 administrative burdens, how long it would take to
19 get through their compliance office and sign off
20 on the data-sharing agreements that we need to put
21 in place. So, it -- we'll get there, but it's not
22 -- it's a slog.

1 DR. SUSAN BERRY: Harder than it
2 looks.

3 DR. BRUCE MARSHALL: Yeah, it's
4 harder -- it's harder -- much harder than it
5 looks. But the payoff could be enormous. I mean,
6 we -- we -- we start to salivate about other data
7 elements that we could -- like, for example, lab
8 data. You know, that's all pretty well
9 standardized. We could bring that data in. We
10 get very little lab data now. Radiology. I mean,
11 you could go on and on about what you could get to
12 get to a very granular data set.

13 DR. JOSEPH BOCCHINI: Once again,
14 thank you, Dr. Marshall.

15 DR. BRUCE MARSHALL: Thank you all.

16 DR. JOSEPH BOCCHINI: We really
17 appreciate you coming to the committee. Thank
18 you.

19 Next on the agenda is the
20 presentation by Dr. Jennifer Puck, and it will be
21 made electronically. Dr. Puck is on the line, and
22 if we can pull up her slides. She is the

1 principle investigator of the Primary Immune
2 Deficiency Treatment Consortium and will talk
3 today about the Consortium's effort to gather data
4 on SCID. After serving on the faculty of the
5 University of Pennsylvania in Philadelphia and the
6 National Human Genome Research Institute at the
7 NIH, Dr. Puck joined the University of California,
8 San Francisco, in 2006 as Professor of Pediatrics.
9 She directs the UCSF Jeffrey Modell Diagnostic
10 Center for Primary Immune Deficiencies and is well
11 recognized as a leader in the field of Newborn
12 Screening for Immune Deficiency Disorders. So,
13 Dr. Puck, we have your slides ready, and you are
14 ready to start.

15 PRIMARY IMMUNE DEFICIENCY TREATMENT CONSORTIUM

16 DR. JENNIFER PUCK: Yes, so good
17 morning. Can you hear me?

18 DR. JOSEPH BOCCHINI: Yes, we can.
19 Go right ahead.

20 DR. JENNIFER PUCK: Okay. Thank you.
21 I'm very pleased to be invited and thank you for
22 accommodating me to the schedule. So, if we could

1 advance to the next slide, I'm going to tell you a
2 little bit about the Primary Immune Deficiency
3 Treatment Consortium, which is one of the members
4 of the Rare Diseases Clinical Research Network and
5 these are consortia, which are joined together
6 through the NIH Office of Rare Diseases, and we
7 also include a Data Management and Coordinating
8 Center that is mandated to store all the data
9 collected by the consortia.

10 So, our major support is from the
11 NIAID, but we also receive support from the Office
12 of Rare Diseases within NCATS. And the goals of
13 the PIDTC are to conduct natural history studies
14 in SCID, Wiskott-Aldrich syndrome, and Chronic
15 Granulomatous Disease, though I'll only be talking
16 about SCID today.

17 Sites around the country and in
18 Canada have applied for membership, and they are
19 considered based on their experience and
20 expertise, and we have had centers which have been
21 underperforming, and they've been excused, and
22 each year, we invite new sites to apply.

1 Now, the patient advocacy groups who
2 have become a very important part of each one of
3 the consortia in this Rare Disease Network,
4 they've been critical partners with us from the
5 start, including the Jeffrey Modell Foundation,
6 the Immune Deficiency Foundation, and the SCID
7 Angels for Life Foundation, of course, among
8 others specializing in other diseases.

9 And if you go to the next slide, I
10 hope this is the one with the map. It shows in
11 the yellow dots where the 44 centers are in the US
12 and Canada, and these centers have collectively
13 enrolled by now 1,749 individuals with SCID --
14 sorry -- with all the immune deficiencies. And
15 down below, you see listed our four current
16 protocols, two of them concern SCID, and there is
17 a prospective, longitudinal study that has
18 enrolled nearly 300 and a retrospective and cross-
19 sectional study with close to 750 enrollees at
20 this time. So, the map also shows how newborn
21 screening started in the darker green colors and
22 then have spread so that as of last December, all

1 50 states were screening for SCID and the PIDTC
2 takes pride in having helped this happen along
3 with our many partners.

4 So, the next slide, I hope you're
5 able to see it because on my MAC, it's totally
6 blank. This shows the influence of introduction
7 of newborn screening for SCID and the way that
8 these get diagnosed. And on top, I just put a
9 reminder of what the SCID newborn screening test
10 consists of, which is looking for T-cell receptor
11 excision circles, which are biproducts of normal
12 T-cell development. And when the number of these
13 circles is too low or undetectable, infants are
14 called back according to each state's individual
15 newborn screening protocol, and they are evaluated
16 for immune deficiency, and a number of these do
17 turn out to have SCID. So, the lower graph on
18 this page shows the percentage of cases enrolled
19 in the PIDTC from 2010 to 2016, and the green line
20 shows you the number who were diagnosed based on
21 newborn screening, while the red line shows the
22 decrease in cases diagnosed because of infections,

1 which used to be the predominant way SCID was
2 diagnosed.

3 Now, next slide. One of the very
4 important things about the PIDTC is it functions
5 with a central IRB, and this is now mandated by
6 NIH for multicenter clinical studies that they
7 support, although our Canadian sites don't have to
8 participate. And so, in this case, the UCSF IRB
9 is the IRB of record, and this has been a huge
10 task to get on board all the IRBs from all the
11 different sites. But it certainly is facilitating
12 the enrollment of subjects and the promotion of
13 changes to the protocol where necessary. We can
14 make amendments very easily. And so, we're really
15 actually taking advantage of these reliance
16 agreements to streamline the PIDTC enrollment.

17 So, we have elements of the registry
18 that are consented -- every patient in the
19 prospective part of the study in the consortia is
20 consented. However, if it's purely retrospective,
21 we have waivers in place to record the identified
22 patient data and in our cross-sectional group, of

1 course, that's consented also for the procurement
2 of samples.

3 So, the first thing that the PIDTC
4 had to do was actually come up with definitions
5 for SCID for eligibility purposes, and I think
6 people in this group are probably familiar with
7 our eligibility criteria, because they were the
8 same as what was used in the 2014 publication and
9 they're outlined here that there's typical SCID,
10 leaky or atypical SCID, and Omenn syndrome, and
11 of course there's the unfortunate variant term
12 that was initially used, and we don't find that
13 very useful, actually, that we don't have a good
14 definition for that, but we determined eligibility
15 for PIDTC by treating into one of these criteria.
16 We actually have a Review Committee that reviewed
17 each prospective or each potential enrollee for
18 eligibility.

19 Next slide. So, this slide shows
20 where the data comes from that we collect. The
21 CIBMTR, which is the Center for Internal Bone
22 Marrow Transplant Research, this is the legally

1 mandated organization that collects all USA
2 transplant data and a fair amount of international
3 transplant data as well. And this data is --
4 there are actually two levels of reports for
5 CIBMTR transplants. There's a simple form and
6 then a much more extensive research form, and so
7 we require that the research form be filled out in
8 CIBMTR for all the PIDTC patients who are entered,
9 and this includes very detailed data about the
10 donor and recipient HLA type, the conditioning,
11 and all kinds of data related to the transplant.
12 And the PIDTC itself has developed a whole series
13 of case report forms with their titles listed
14 here, and they are also filled out by each center
15 enrolling a patient. And the data from both these
16 sources are combined into the database, which is
17 in the DMCC.

18 Next slide. So, in addition, we also
19 have samples that are collected for study in
20 specialized centers, and this is just an example
21 of a few of the studies. All of the enrollees
22 have dried blood spots sent for TRECS

1 determination and these are done sequentially.
2 You can side effects at the bottom there, done at
3 the baseline 100 days, 6 months, 1 and 2 years,
4 and we also collect RNA in a PaxGene tube for
5 spectratyping to measure T-cell diversity. And
6 this has been done since the start of the study in
7 2009.

8 We also have different pilot
9 programs. Often, we fund young investigators to
10 undertake these, and these pilot studies have
11 become core elements that have been incorporated
12 with amendments to the overall protocol. And so,
13 for example, looking at B-cell development after
14 transplant, looking at the phenonemon of T-cell
15 exhaustion in some patients whose transplants ran
16 out of steam after a period of time, and other
17 things. And of course, all the sample tracking is
18 done through the DMCC, and all these results are
19 deposited there.

20 Next slide. I hope you can see this,
21 because I can't see it on my screen. But I put in
22 a couple of screenshots of the kinds of online

1 data collection forms so that you can get
2 something of a flavor for them, not that you're
3 supposed to read them. We use drop-down menus as
4 much as possible, and we have used standardized
5 terminology to make our data entry operable with
6 other data.

7 Next slide. And this shows some of
8 the important overview core publications from the
9 SCID protocol that we have put together, and I --
10 I think that these have been highly cited.
11 They're also widely -- they are the largest
12 studies separating different genotypes of SCID,
13 because prior single-center studies had to log
14 them together because there were never enough
15 cases in a single site to do good statistical
16 analysis, and, of course, we're continuing to work
17 on these.

18 And then I just thought I'd end up with a
19 couple of examples of what we hope to do in PIDTC
20 in the future. So, the next slide, and this is of
21 course hoping that we're going to be funded for
22 another cycle, which our current cycle ends at the

1 end of August of this year. So, this is all
2 representing hope that at this point in time. But
3 we will incorporate genetic and pathogenic
4 evaluations of newly diagnosed SCID patients.
5 Many of these today are getting gene panels right
6 from the start that established their diagnosis,
7 but in 10 percent of the cases, they did not have
8 a diagnosis even after clinical laboratory whole
9 exome sequencing. And so, we're going to make a
10 concerted effort to study these cases in detail
11 with family trio, whole genome sequencing, gene
12 expression studies. We know that the patients may
13 not have T-cells, but their parents do, and if
14 they are heterozygous for deleterious mutations,
15 their T-cell expression will reflect this. So,
16 that's going to be looked into. And we will
17 develop candidate variants and then they will be
18 studied in particular laboratories with expertise
19 depending on what they show. For example, in some
20 cases, we know that there are actually thymus
21 defects rather than defects in the bone marrow
22 stem cells leading to inability to make mature T-

1 cells.

2 The next slide shows a quality of
3 life study that we're undertaking and actually
4 this is already starting. We're using the PROMIS
5 Pediatric Self-Assessment Tool because these are
6 widely validated and also available in Spanish as
7 well as English, and the DMCC has provided these
8 to all of the members in the NBSTRN. So,
9 depending on the individual's age and also
10 following over time the different ages, we will
11 administer these tools, and I think this is really
12 important, because survival is the only measure
13 that has been published widely before. And, of
14 course, that barely scratches the surface, and
15 we're very concerned about quality of life.

16 Next slide. And finally, we've
17 determined that despite newborn screening, we have
18 not gotten rid of infection in SCID, and sort of a
19 shocking finding was that 40 percent of SCID
20 infants, even though they were diagnosed by
21 newborn screening, had developed an infection
22 before their transplant, and some of these are not

1 so terribly serious, but cytomegalovirus is one
2 organism that is very serious and has been fatal
3 even in newborn screened cases. And so, we know
4 that this can be transmitted through
5 breastfeeding, also in maternal secretions at
6 delivery. And so, we're going to undertake a
7 prospective natural history study to look at which
8 mothers are CMV positive, and we're going to try
9 to do PCR in breastmilk samples to look at
10 excretions. We're going to follow these infants.
11 And this is a study that is going to lead to a
12 clinical trial for prophylaxis with some of the
13 newer anti-CMV agents that are more effective and
14 perhaps less toxic than agents currently
15 available.

16 Next slide. Just to wind up, I want
17 to thank very much the RDCRN and also NIAID for
18 supporting us.

19 And the final slide, shows Mort
20 Cowan, who is our Inaugural PI, and he's dedicated
21 to raising a new generation of leaders in Primary
22 Immune Deficiency, so I think this is actually one

1 of the -- the individuals we're grooming to be an
2 immunologist in the next generation, and he's a
3 recipient of gene therapy for SCID. So, I'm happy
4 to take any questions. Thanks.

5 DR. JOSEPH BOCCHINI: Thank you, Dr.
6 Puck. We appreciate your participation and your
7 introduction of the next generation of immune
8 deficiency experts. Thank you. So, let's open
9 this up again. Operator, open the lines for the
10 organizational representatives, and first question
11 is to the committee. Dr. Berry.

12 DR. SUSAN BERRY: Hi. This is Sue
13 Berry. Jennifer, thank you for that summary,
14 which is really exciting and the kind of progress
15 that's been made. The question I have for you is
16 that in the most recent round of competition for
17 the RCDNs, one of the adjournments to create plans
18 for sustainability for maintaining these Rare
19 Disease Networks, and I was wondering what
20 concepts you guys might have employed, and how you
21 see that future going forward, because ten years
22 is not very long in natural history.

1 DR. JENNIFER PUCK: Well, you're
2 right. And so, we hope that we'll get another
3 five years of support from NIH, and I must say,
4 this support, while invaluable, has always been
5 insufficient. But we couldn't at this point
6 survive without it. We look to the Cystic
7 Fibrosis Foundation with great envy and
8 admiration, and we are working with our Patient
9 Advisory Group partners and trying to establish a
10 future, because what we see is that we need to
11 evolve into a Clinical Trial Network for Primary
12 Immune Deficiency, not just a data collection
13 venue. And so, when we do that, we certainly hope
14 to enlist corporate participation and gene therapy
15 has really started to move from clinical trial
16 stage to standard of care, and I believe that
17 during the next three to four years, there will be
18 standard of care treatment for X-linked SCID,
19 adenosine deaminase deficient SCID, and also
20 artemis deficient SCID with gene therapy. So,
21 involvement of corporate partners to have
22 participants in those trials is -- is important.

1 We're also looking forward to
2 development of substitutions for chemotherapy. As
3 everybody knows, chemotherapy is toxic but
4 required to get stem cells to engraft, and stem
5 cells have to be there in order to produce B-cell
6 function and full reconstitution and a long-term
7 cure, and there are monoclonal antibodies coming
8 online to -- to be assessed, and again clinical
9 trials must be conducted. We are positioning
10 ourselves to be the organization in which these
11 take place. So, I hope that these will help in
12 that aspect.

13 If anybody has any other ideas, I'd
14 love to hear them.

15 DR. JOSEPH BOCCHINI: Thank you. Are
16 there any additional questions, comments from the
17 committee or organizational representatives?
18 Individuals on the telephone? Hearing none, Dr.
19 Puck, thank you very much for your presentation.
20 We know you have to get to clinic this morning.
21 So, thank you for taking this time before clinic
22 to talk with us. We appreciate it. Thank you.

1 DR. JENNIFER PUCK: You're very
2 welcome.

3 DR. JOSEPH BOCCHINI: All right. So,
4 we are right on schedule. Our goal was to have an
5 early lunch today, and then come back with the
6 rest of the meeting. So, we are going to take a
7 45-minute break for lunch -- just under 45
8 minutes. We want to see if we can get back at
9 12:15 to start promptly at 12:15 for the next
10 portions of the agenda. So, thank you very much.

11 LUNCH BREAK

12 [Off the record at 11:30 a.m.]

13 [On the record at 12:15 p.m.]

14 DR. JOSEPH BOCCHINI: We'll now begin
15 the afternoon session. All right. Let's welcome
16 everyone back to the afternoon session of today's
17 meeting. I'm going to start again with roll call.
18 We'll start with committee members on webcast.
19 Kamila Mistry.

20 DR. KAMILA MISTRY: Here.

21 DR. JOSEPH BOCCHINI: Mei Baker.

22 DR. MEI BAKER: Here.

1 DR. KAMILA MISTRY: Dr. Bocchini,
2 could you hear me?

3 DR. JOSEPH BOCCHINI: Yes, we heard
4 you and we got you for this morning, too, so.

5 DR. KAMILA MISTRY: Okay. Thank you.

6 DR. JOSEPH BOCCHINI: All right. Sue
7 is not back yet. I'm here. Jeff is unable to be
8 here. Kyle Brothers.

9 DR. KYLE BROTHERS: Here.

10 DR. JOSEPH BOCCHINI: Jane DeLuca.

11 DR. JANE DELUCA: Here.

12 DR. JOSEPH BOCCHINI: Carla Cuthbert.

13 DR. CARLA CUTHBERT: I'm here.

14 DR. JOSEPH BOCCHINI: Joan Scott.

15 MS. JOAN SCOTT: Here.

16 DR. JOSEPH BOCCHINI: Melissa Parisi.

17 DR. MELISSA PARISI: Here.

18 DR. JOSEPH BOCCHINI: Cindy Powell

19 DR. CYNTHIA POWELL: Here.

20 DR. JOSEPH BOCCHINI: Scott Shone.

21 DR. SCOTT SHONE: Here.

22 DR. JOSEPH BOCCHINI: Beth Tarini.

1 DR. BETH TARINI: Here.

2 DR. JOSEPH BOCCHINI: Catharine Riley

3 DR. CATHARINE RILEY: Here.

4 DR. JOSEPH BOCCHINI: All right.

5 Now, for organizational representatives. Robert

6 Ostrander.

7 DR. ROBERT OSTRANDER: Here.

8 DR. JOSEPH BOCCHINI: Debra

9 Freedenberg is not yet here. Michael Watson.

10 DR. MICHAEL WATSON: Here.

11 DR. JOSEPH BOCCHINI: Britton Rink by

12 webcast. Jed Miller.

13 DR. JED MILLER: Here.

14 DR. JOSEPH BOCCHINI: Susan Tanksley.

15 DR. SUSAN TANKSLEY: Here.

16 DR. JOSEPH BOCCHINI: Chris Kus by

17 webcast.

18 DR. CHRISTOPHER KUS: Here.

19 DR. JOSEPH BOCCHINI: Natasha

20 Bonhomme.

21 MS. NATASHA BONHOMME: Here.

22 DR. JOSEPH BOCCHINI: That was quiet,

1 okay. Siobhan Dolan by webcast.

2 DR. SIOBHAN DOLAN: Here.

3 DR. JOSEPH BOCCHINI: Cate Walsh
4 Vockley.

5 MS. CATE WALSH VOCKLEY: Here.

6 DR. JOSEPH BOCCHINI: Shawn
7 McCandless has not made it back yet. Okay. So,
8 we've got Dr. Berry. Okay. All right.

9 We're going to begin this session
10 with the remaining public comments. So, we have
11 four individuals who will present public comments,
12 and we would ask each of you to come forward to
13 the podium as you make your comments. So, first
14 today is Dr. Emmanuèle Délot from Children's
15 National Medical Center.

16 PUBLIC COMMENTS:

17 DR. EMMANUÈLE DÉLOT: So, thank you
18 so much for the opportunity to present in front of
19 this board. I am Dr. Emmanuèle Délot from
20 Children's National Medical Center in Washington,
21 and I'm here representing the DSD Translational
22 Research Network, DSDTRN. The DSDTRN is an NIH-

1 funded national network of clinics and research
2 centers dedicated to improving management and
3 service to patients with disorders of sex
4 development. I serve as the National Coordinator
5 for the network as well as the Director of Biobank
6 and the Chair of the Publication and Research
7 Committee. And I'm here to present the project
8 headed by Professor Phyllis Speiser of the Hofstra
9 School of Medicine in New York on behalf of the
10 principle investigators of the network, Eric
11 Delayne at Children's National in DC, and David
12 Sandberg at University of Michigan, and the
13 Endocrine Workgroup of the Network, in particular
14 our endocrinologist at the Phoenix Children's
15 Hospital, LeBoneur, Memphis, Lurie Children's in
16 Chicago, Washington University in St. Louis, and
17 Cincinnati Children's.

18 I'm not going to teach anyone about
19 congenital adrenal hyperplasia, but CAH is caused
20 by 21 hydroxylase deficiency is the most common
21 disorder of steroid synthesis and is recommended
22 as part of newborn screening in all US programs

1 because early diagnosis and treatment prevents
2 morbidity and mortality in infants. The filter
3 paper blot specimen typically collected in full-
4 term infants on day 2 are subjected to a thorough
5 amino assay called Delphia to test for levels of
6 17 hydroxyprogesterone, 17 OHP. However,
7 prematurity, low birth weights or critical illness
8 are known to cause falsely elevated results and
9 reduce the test's positive predictive value, and
10 these findings were included in the new clinical
11 practice guidelines for management of CAH that
12 were published last year by the Endocrine Society,
13 an effort also led by Dr. Phyllis Speiser.

14 We initiated a survey of state
15 protocols as a preliminary step to quality
16 improvements and analysis of the ten data sets
17 that were returned revealed that each state has a
18 different procedure for identifying and reporting
19 positive newborn screens. For example, nine out
20 of ten states used birth weight-based cut-off
21 points and only one state used gestational age.
22 Cut-off points for normal results varied widely.

1 For example, for birth weight between 2,250 and
2 2,500 grams, the cut-off 17 OHP value varied from
3 25 to 75 grams per mL, which is a three times
4 difference. Our survey also showed that the amino
5 assay was associated with low positive predictive
6 value, and this value varied from 1.2 percent to
7 9.6 percent, revealing differences in sensitivity
8 and specificity of screening among states.

9 So, in conclusion, there is a need
10 for standardization of newborn screening protocols
11 for CAH to improve the positive predictive value.
12 There are published reports that a combination of
13 birth weight and gestational age may provide up to
14 a ten-fold improvement in positive predictive
15 value and that using tandem mass spec enhances the
16 value of confirmatory testing for paper filter
17 samples.

18 These preliminary results received a
19 lot of attention when they were presented last
20 month at the Endocrine Society Meeting in New
21 Orleans. Our plan is now to complete the survey
22 of states, and we respectfully hope that the board

1 would encourage this effort and consider our
2 results. Thank you.

3 DR. JOSEPH BOCCHINI: Thank you very
4 much for this presentation and bringing this
5 survey to our attention. We look forward to its
6 publication. We'll take the results and look at
7 them seriously. Thank you.

8 Next on the agenda is Ms. Brittany
9 Hernandez with the Muscular Dystrophy Association.

10 MS. BRITTANY HERNANDEZ: Thank you,
11 Dr. Bocchini. My name is Brittany Hernandez. I'm
12 the Director of Advocacy for the MDA, and I want
13 to thank all of you for the opportunity to speak
14 today and also welcome Dr. Powell as the incoming
15 Chair. We're really excited to be working with
16 you going forward, but obviously sad to be closing
17 out this chapter with Dr. Bocchini.

18 I also want to thank the committee
19 for its commitment to screening -- newborn
20 screening for neuromuscular conditions. The
21 addition of Pompe and SMA to the RUSP is something
22 that's been really important for our committee,

1 and we've seen a lot of movement forward after
2 those conditions have been added.

3 MDA is an umbrella organization
4 covering over 40 different neuromuscular
5 conditions. We have over 150 care clinics across
6 the country at some of the leading medical
7 institutions that care for individual with all of
8 the conditions under our umbrella. These are
9 multidisciplinary care clinics where individuals
10 can go to get all the services that they need --
11 both medical and social support services.

12 We also support the new data hub, the
13 Neuromuscular Observational Research Data Hub
14 called MOVR, which is our new clinician data
15 registry. Right now, we launched it tracking four
16 different neuromuscular conditions. They are ALS,
17 Duchenne, SMA, and Pompe disease. We know that
18 this new registry is going to help aid in
19 development of clinical trials for individuals
20 with neuromuscular conditions including Duchenne,
21 which is obviously one part of why we have an
22 interest in adding DMD to the RUSP. We're working

1 collaboratively with partners across the spectrum
2 on a DMD RUSP nomination going forward, and we're
3 proud to be partnering with a number of other
4 individuals and groups on this. We understand
5 that there might be some concerns about utilizing
6 CK for -- for the detection of Duchenne since it
7 could lead to -- since it would lead to detection
8 of other conditions. But we would offer that the
9 MDA Care Clinic Network does exist to provide care
10 to all individuals identified with a neuromuscular
11 condition, including those that could be
12 identified via a CK test for Duchenne.

13 MOVOR will also build and track
14 progress of NBS-identified patients with Duchenne
15 and other related conditions through the CK test,
16 and we also are working on generating a number of
17 different medical education initiatives for
18 physicians that work in our clinics to ensure that
19 they know how to care for patients who are
20 identified via the newborn screening process. We
21 have a number of staff on board who are working
22 closely on that, and I'd be happy to make any

1 connections should you have questions about the
2 efforts that we're undertaking to make sure that
3 physicians are as informed as possible to help
4 them take on any babies and families who are
5 identified via the process.

6 I want to thank the committee for its
7 commitment to newborn screening for neuromuscular
8 conditions and I appreciate the opportunity to
9 share my comments today. Thank you.

10 DR. JOSEPH BOCCHINI: Thank you, Ms.
11 Hernandez. We appreciate your efforts and look
12 forward to the nomination packet when it's
13 completed.

14 Next is Ms. Annie Kennedy with Parent
15 Project Muscular Dystrophy. Ms. Kennedy.

16 MS. ANNIE KENNEDY: Good afternoon.
17 I am Annie Kennedy with Parent Project Muscular
18 Dystrophy or PPMD, and I'm here today representing
19 the National Duchenne Community. Last month, I
20 had the opportunity to present before this
21 committee to share that PPMD, along with a pretty
22 competitive consortia of funding partners, has

1 initiated a Duchenne Newborn Screening Pilot in
2 New York State, and Mike Watson alluded to that
3 earlier today. Today, I'd like to spend a few
4 minutes focusing on the publications, tools, and
5 resources that we've worked with collaboration
6 with our partners to build to support families and
7 providers who will be working within that pilot.

8 Over the last decade, PPMD has
9 collaborated with the Centers for Disease Control
10 and Prevention and the American Academy of
11 Pediatrics on several efforts designed to develop
12 diagnostic and clinical care tools and resources
13 for providers and patients. In 2009, PPMD
14 received funding through a cooperative agreement
15 of the CDC to convene the National Task Force for
16 the Early Identification of Childhood
17 Neuromuscular Disorders to address the delay that
18 families frequently experience between symptom
19 onset and diagnosis of neuromuscular disorders.
20 The taskforce aimed to increase clinicians'
21 awareness of peripheral neuromuscular disease as a
22 cause of developmental delay in young children and

1 to help providers in primary care, rehabilitation
2 medicine, and physical therapy identify the early
3 symptoms of neuromuscular disorders. The
4 taskforce included representatives from the
5 American Academy of Pediatrics, the American
6 Academy of Neurology, the Child Neurology Society,
7 Cure SMA, MDA, and NSGC, the American Physical
8 Therapy Association, and many others. The yield
9 from the effort was the creation of training
10 tools, diagnostic and clinical algorithms, and
11 clinical support tools, all housed on the website,
12 childmuscleweakness.org. The effort also included
13 a year-long dissemination program.

14 Following that in 2016, PPMD, the
15 American Academy of Pediatrics, and the CDC
16 partnered to develop a motor delay assessment tool
17 for parents through a program called Learn the
18 Signs, Act Early. That, again, is housed on AAP's
19 website.

20 In October of 2018, the AAP dedicated
21 a supplement of their journal to a series of 13
22 publications featuring expanded care guidelines in

1 Duchenne entitled Specialty to Care for the
2 Patient with Duchenne Muscular Dystrophy.
3 Included was a primary care and emergency
4 department management in the patient with Duchenne
5 muscular dystrophy article. There will be a
6 webinar on that later this week, actually, for
7 primary care providers.

8 Also, in October of 2018, a refined
9 ICD-10 code for Duchenne and Becker MD was
10 implemented within the CMS addenda. This effort
11 was led by PPMD with support from the CDC, CMS,
12 and AAP. And currently, a new action sheet is
13 being developed by NBSTRN and their clinical
14 integration group as a part of the Duchenne
15 Newborn Screening Pilot in New York State.

16 Despite all of these efforts, our
17 surveillance data continues to reflect an
18 unnecessary and heartbreaking diagnostic odyssey
19 that delays access to care and impacts outcomes
20 for our families. Last March, PPMD convened an
21 externally led patient-focused drug development
22 meeting with the FDA in Washington, DC for a

1 powerful day of testimonials from families about
2 their current experiences with Duchenne.
3 Throughout that day, parent after parents
4 described their journeys from parental concerns to
5 confirmed diagnoses. The descriptions included
6 recollections of having worries brushed aside by
7 clinical providers, months and even years of
8 inconclusive tests and therapies, and diagnoses
9 delivered with little or no information about
10 Duchenne and no direction as to where to turn for
11 expert care and support. And while this was not
12 everyone's experience, it is the rule rather than
13 the exception.

14 I'd like to take a moment to read an
15 excerpt from a few of the parents' testimonies now
16 to capture the common diagnostic experience.
17 Particularly striking to me is that these parents
18 came into our community after the previously
19 mentioned clinical resources had been created and
20 disseminated.

21 This from Lisa in Nebraska. "I'm a
22 stay-at-home mom of three. Prior to having

1 children, I was a full-time physical therapy
2 assistant. In January 2014, just after Lane's
3 second birthday, we requested therapies for Lane
4 from our local primary provider for speech delays
5 as well as growth and fine motor delays. After
6 doing some research, I had also requested a CK
7 blood draw, and I'll never forget that phone call
8 when the results were reported back. The nurse
9 who called me actually asked me if he should be
10 hospitalized. It was in that moment, during that
11 diagnostic call, that the nurse -- with the nurse
12 that I became the educator for others about
13 Duchenne."

14 And this one from Clair in St. Louis.
15 "On Friday evening, December 30, 2011, we were
16 getting ready for a family Christmas party, when I
17 received a call from our pediatrician's office.
18 Earlier that week, the doctor had ordered blood
19 tests after Henry's teacher had requested a PT
20 referral. Although I'd raised concerns in the
21 past about his inability to jump or climb, I was
22 always assured that kids just develop at different

1 stages. Over the phone, the doctor shared that
2 the lab results indicated Duchenne muscular
3 dystrophy. In my mind, it scrambled to recall
4 what I knew of Duchenne. He said, "It was bad,"
5 and told me that I would find more information
6 online. Our vacationing pediatrician said he
7 would refer us to a neurologist after the long
8 holiday weekend. That evening, my happy babies
9 went to the party with my sister, while our world
10 crumbled into pieces. My husband and I wept at
11 the prognosis that we found on Wikipedia. This
12 dark night marks the before and after of our
13 family's life."

14 Our community's goal through our
15 newborn screening program is that no family ever
16 be subjected to an unnecessary diagnostic odyssey
17 again and that every family receive timely
18 supportive resources at the time of diagnosis.
19 The Duchenne Newborn Screening Pilot Program is
20 designed to set up, validate, and conduct a
21 consented pilot screen for infants born at select
22 hospitals in New York State, which will utilize

1 tools, resources, and expertise at PPMD and the
2 Newborn Screening Translational Research Network
3 and the New York State Department of Health. This
4 pilot is being funded through a unique model in
5 which PPMD has converged a pre-competitive
6 consortia of biopharmaceutical industry partners
7 with a commitment to early diagnosis and
8 intervention in Duchenne. In addition, the pilot
9 is being guided by a Steering Committee comprised
10 of representatives from federal agencies, provider
11 groups, and representatives from key Duchenne
12 stakeholder communities. This is an important
13 inflection point for us in our community and one
14 that we recognize we would not have reached
15 without the guidance and support of all of you.
16 We are grateful and most of all our Duchenne
17 community is hopeful. Thank you.

18 DR. JOSEPH BOCCHINI: Thank you, Ms.
19 Kennedy, for your presentation and we look forward
20 to the results that come from the New York pilot
21 study. Thank you.

22 Next is Rebecca Abbott with the March

1 of Dimes.

2 MS. REBECCA ABBOTT: Good afternoon,
3 Dr. Bocchini, and members of the Advisory
4 Committee. Thank you for the opportunity to speak
5 today. My name is Rebecca Abbott, and I am the
6 Deputy Director of Federal Affairs at the March of
7 Dimes. In that capacity, I have the privilege of
8 spearheading a group of more than a dozen
9 organizations, many of them represented in the
10 room today, dedicated to advancing newborn
11 screening through national policy.

12 Over the past few years, our
13 coalition has focused on insuring that Congress
14 provides increased funding for newborn screening
15 programs at CDC, HRSA, and NIH. I am pleased to
16 report that we have been successful in those
17 efforts. In fiscal year '19, Congress provided
18 \$10 million more to CDC and HRSA than they had in
19 fiscal year '17. We will continue our work
20 through the annual appropriations process and are
21 hopeful our success continues.

22 In addition, our perennial activities

1 supporting appropriations, last year our
2 organizations began laying the ground work for
3 Newborn Screening Lives Act Reauthorization, which
4 expires on September 30th of this year. Our
5 coalition developed a set of shared principles to
6 guide reauthorization and shared our
7 recommendations with Congressional champions.
8 After months of refining language, I am pleased to
9 report that the Newborn Screening Saves Lives Act
10 Reauthorization of 2019 will hopefully be
11 introduced in the House next week.

12 Our long-time champions, California
13 Congresswoman Lucille Roybal-Allard and
14 Congressman Mike Simpson will again sponsor the
15 bill. As Dean mentioned yesterday, the bill will
16 raise authorizations for programs at CDC and HRSA
17 and makes very targeted refinements to language
18 governing activities of CDC, HRSA, and NIH. It
19 will also commission a report by the National
20 Academy of Medicine, looking at the future of
21 newborn screening and of particular interest to
22 this committee, it will extend your charter for

1 another five years.

2 On the Senate -- our coalition is
3 pleased with the language and looks forward to
4 advocating for its swift passage. On the Senate
5 side of the Capitol, our coalition is working
6 closely with our new champions this year, Senator
7 Maggie Hassan of New Hampshire and Senator Cory
8 Gardner of Colorado to finalize language, and we
9 are hopeful that legislation will be introduced
10 before the Independence Day holiday.

11 Congress has much on its agenda this
12 year, but we are confident that we can build the
13 support to ensure reauthorization of the Newborn
14 Screening Saves Lives Act, and that is on its to-
15 do list. I will be here today, and I am always
16 available to answer questions about the bill or
17 our efforts. Further, our informal coalition is
18 open to patient, provider, and public health
19 organizations that are dedicated to newborn
20 screening, and I am happy to talk to you about how
21 to get involved.

22 Before closing, I wanted to take this

1 opportunity to extend a thank you on behalf of the
2 March of Dimes to Dr. Bocchini for his leadership
3 of the Advisory Committee and for his commitment
4 to the health and well-being of children. Dr.
5 Bocchini has served this committee with
6 distinction, and our nation's newborn screening
7 program and by extension our nation's children are
8 better because of his service. Dr. Bocchini is
9 also a long-time committed volunteer of March of
10 Dimes. His volunteer leadership has been
11 essential to helping our organization improve the
12 health of moms and babies throughout Louisiana.
13 So, Dr. Bocchini, March of Dimes thanks you for
14 your service to this committee, to our
15 organization, and to the mothers, infants, and
16 families in Louisiana and across the nation.
17 Thank you.

18 DR. JOSEPH BOCCHINI: Thank you for
19 those kind words and thank you for updating us on
20 the status of the reauthorization. We appreciate
21 that. Thank you.

22 Next on the agenda is our

1 presentation from the Followup and Treatment
2 Workgroup. It's an update on their activities and
3 the work that they completed yesterday. Dr. Chris
4 Kus will present by phone on the activities of the
5 workgroup. Chris, let's see and make sure your
6 line is open. Can you hear?

7 DR. CHRISTOPHER KUS: I can hear.

8 Can you hear me?

9 DR. JOSEPH BOCCHINI: We can hear
10 you.

11 DR. CHRISTOPHER KUS: Great.

12 DR. JOSEPH BOCCHINI: And your slide
13 is up, so go right ahead.

14 FOLLOWUP AND TREATMENT WORKGROUP UPDATE

15 DR. CHRISTOPHER KUS: Okay. Again,
16 I'm Chris Kus, and I'm pinch-hitting for Jeff
17 Brosco, who couldn't be here today. Next slide.

18 DR. JOSEPH BOCCHINI: We're moving
19 them forward for you, so you're fine.

20 DR. CHRISTOPHER KUS: Okay. Okay,
21 got it. Start out with this just gives you a
22 listing of the members of our Treatment Workgroup,

1 and we welcomed several people yesterday, and
2 you'll see their names that are in red. Again,
3 Jeff Brosco is the Chair, and I'm the co-Chair.

4 Next slide. During our workgroup
5 meeting, we had two presentations, and I'll give
6 you a flavor for each of them. The first one was
7 on the Newborn Screening Translational Research
8 Network that Amy Brower presented and specifically
9 talked about the use of the Longitudinal Pediatric
10 Data Resource, which is a tool that enables
11 clinicians, researchers, parents, and patients to
12 enter health information in a secure centralized
13 system, and one of the works they've been doing
14 along with NewSTEPS is working on if we looked at
15 collecting long-term followup information from
16 states, what might we collect. So, the goal of
17 what they were doing was to create a minimum set
18 of questions and answers from the Longitudinal
19 Pediatric Data Resource for use by state newborn
20 screening programs, and Amy discussed the idea
21 that they had over 2,500 questions that they
22 wanted to whittle down to 4 questions. Tough job.

1 Okay. Next slide.

2 DR. CATHARINE RILEY: Dr. Kus, this
3 is Catharine Riley. There's about a ten-second
4 delay if you're watching the webcast, so.

5 DR. CHRISTOPHER KUS: Okay, I got it.

6 DR. CATHARINE RILEY: Yep.

7 DR. CHRISTOPHER KUS: So, I'll start
8 as you're going. Okay. Yeah. The next
9 presentation was by Marci Sontag from NewSTEPS,
10 and particularly, they were working with the
11 Newborn Screening Translational Research Network
12 to come up with the idea of having a minimum
13 question set for public health, and the ones that
14 Marci proposed were diagnosis, date of appropriate
15 first intervention, are they alive, and within the
16 last 12 months, did the child receive care and
17 treatment specific to the diagnosis, and type of
18 care provider.

19 Amy also -- Marci also presented on
20 some of the work that they've done in terms of
21 state profiles, getting a handle on what's going
22 on with regard to long-term followup. Some of the

1 questions they're looking at are first, which we
2 always talk about, regarding long-term followup,
3 who is responsible, what is the data, how long do
4 we follow up, and why do we follow up. And when
5 they looked at the -- the information from -- from
6 states and other programs, they -- they had
7 information on 53 newborn screening programs from
8 the 50 states, from Puerto Rico, and Guam, and 28
9 of those -- of those reported doing long-term
10 followup while 25 reported no long-term followup.
11 They looked at what types of long-term followup
12 are out there, and they classified it into three
13 groups -- basic, intermediate, and comprehensive.
14 Basic being up to three years where they're
15 collecting information about basic health status,
16 access to care, and feedback from specialists.
17 Intermediate would be up to five years with some
18 clinical outcomes, some groups incorporate parent
19 surveys, and information from management by
20 specialist. And then comprehensive, they would be
21 talking about followup going on from 5 to 15 years
22 or more with more detailed outcomes and ensure

1 access to care including payment for formula.

2 Marci presented the question, who is
3 responsible for long-term followup, and in her
4 slide, she had listed states including management
5 of access to formula and foods, newborn screening
6 programs with information from specific tertiary
7 care programs, children with special healthcare
8 needs programs, case management programs through
9 the state, and others.

10 She also presented what I've listed -
11 - those four questions. She also presented an
12 expanded question list that would be for
13 treatment. The answer would be yes or no, but
14 there would also be a reason reported for no
15 treatment in the last 12 months such as no access,
16 no health care provider availability, no
17 insurance, other things. For the alive question,
18 there would be information about cause of death,
19 injury, medical, unknown. For developmentally
20 appropriate, yes or no, unknown, whether they're
21 getting speech, physical therapy, or other
22 services, and then some question about how many ER

1 visits in the last 12 months.

2 Next slide. So, the question that
3 we're posing for the committee's input is the
4 issue of minimum data set, and would the committee
5 approve the Followup and Treatment Workgroup
6 thinking about a proposal that would encourage
7 states to utilize a minimum data set for program
8 evaluation using the work that I mentioned before.

9 Next slide. We also had a discussion
10 on consent and confidentiality, and I just listed
11 some of the issues that people mentioned. We did
12 have a discussion of the risk of potential harm of
13 identifying individuals. There was a comment that
14 in smaller states, it is a significant concern.
15 Communities vary in their willingness to consent
16 to share their information. The specific
17 statement made that labs can be a barrier to
18 consent and the importance of letting families
19 know that part of any consent is the ability to
20 reconsider consent throughout the study period.

21 Last slide. We had such a rich
22 discussion, Dr. Bocchini, we weren't able to go

1 over the input on key aspects of Dr. Kemper's
2 presentation, but we intend to do that at our next
3 workgroup call, and we also are going to follow up
4 the discussion on the minimum data set based on
5 this meeting. That's it.

6 DR. JOSEPH BOCCHINI: Chris, thank
7 you very much. That was a nice presentation that
8 made very clear what -- what you've been working
9 on. Let's go back a couple of slides to the
10 question that Chris has raised for the committee
11 and open the discussion from the committee --
12 thoughts, questions for Dr. Kus. Scott.

13 DR. SCOTT SHONE: Scott Shone. So,
14 I'll just say quickly about the question, I mean,
15 it seems to make a great deal of sense. To use a
16 minimum data set, it's impressive to think about
17 truncating down 2,500 questions to 4. So -- but --
18 - so, I mean, I -- in terms of program evaluation,
19 a minimum data set seems to make sense, and I
20 think there's precedent. Chris talked about
21 Marci's data presentation. Obviously, I wasn't
22 there, but, I mean, I'm not familiar with the

1 data. So, it -- it seems to me to make a great
2 deal of sense.

3 I do have a question for Chris,
4 though. You just threw out there that labs can be
5 a barrier to consent, and I'd like you to
6 elaborate a little bit on what that means.

7 DR. CHRISTOPHER KUS: Thanks for the
8 question, and I have the information right in
9 front of me. Here -- here's what the statement
10 that was made that labs can also be a barrier to
11 consent. Now, I didn't necessarily make the
12 statement, but somebody in our group did. Some
13 labs see their data as so valuable that they don't
14 want to allow others to have research
15 opportunities. Other state labs are unnecessarily
16 afraid of violating HIPAA. And one of the
17 responses from our group was that we could offer
18 to help educate state labs and the public that
19 they can consent to share their data and be clear
20 about, you know, HIPAA concerns.

21 DR. SCOTT SHONE: Okay. I might
22 suggest that it's not the labs themselves that are

1 the barrier to consent but perhaps the -- the --
2 the regulatory environment in which the individual
3 lab is currently existing that has generated a
4 concern of -- and I agree -- sort of a
5 misappropriate concern around HIPAA or a violation
6 of consent. And so, I would -- I appreciate you
7 clarifying in terms of it's an opportunity to
8 educate perhaps on the opportunities and the
9 benefits of data sharing and perhaps frame it as a
10 positive and what we could potentially do as
11 opposed to the way it's phrased on your slide.
12 Thank you.

13 And I don't think there's any
14 laboratorians in your group, which is why I feel
15 obligated to stand up for the laboratorians in the
16 room.

17 DR. CHRISTOPHER KUS: I -- I
18 appreciate that. Good comments. We had a rich
19 discussion, and I think the -- I think the
20 positive aspect of educating people, particularly,
21 you know, as you mentioned, the idea of HIPAA. A
22 lot of people's understanding are HIPAA are

1 incorrect.

2 DR. JOSEPH BOCCHINI: Other questions
3 or comments? Okay. So, Natasha.

4 MS. NATASHA BONHOMME: Natasha
5 Bonhomme, Genetic Alliance. Just to add to that,
6 this was a topic in terms of what states can and
7 can't do or how they relate to HIPAA. It came up
8 quite a bit at our Newborn Screening Summit last
9 year or two years ago -- our Education and
10 Engagement Summit. So, we have some notes on the
11 discussion that was there, and we had two HIPAA
12 experts. So, we're happy to share that if that
13 would be helpful with this workgroup or any others
14 interested in that.

15 DR. CHRISTOPHER KUS: Yeah, that
16 would be great. Thanks.

17 DR. JOSEPH BOCCHINI: Other questions
18 or comments? So, I'm going to leave this question
19 for Dr. Powell to chew on. And then, so that
20 final decision can come from she and the committee
21 going forward. So, Chris, thank you very much for
22 your presentation, and I look forward to the

1 continued work of this workgroup. Thank you.

2 Next, we have the report from the
3 Education and Training Workgroup. Dr. Tarini will
4 provide us with the update.

5 EDUCATION AND TRAINING WORKGROUP

6 DR. BETH TARINI: Thank you, Dr.
7 Bocchini. So, we also had new members. I also
8 chose red to highlight them. And you see here we
9 have Jane DeLuca, Sylvia Mann, Maa-Ohui Quarmyne,
10 and Samantha Vergano, who we welcome to the
11 committee. And I think I didn't get to the
12 specific expertise here, but we have a very rich
13 committee with the voices representative of a
14 number of stakeholders across the Newborn
15 Screening System.

16 So, we started our discussion with
17 current member activities, which were very
18 informative. Natasha Bonhomme talked about the
19 Newborn Screening Family Education project that
20 she is working on and is nearing completion of a
21 needs assessment of 500 parents regarding their
22 health information preferences and their usage,

1 and she anticipates completion of that project by
2 the end of summer, I believe. And we've asked her
3 to return to us with that as well.

4 Aaron Goldenberg and Keri LeBlanc
5 have chaired an initiative that developed an
6 education best practices framework to help
7 facilitate development of educational resources.
8 You can find this framework on babysfirstttest.org.
9 I have the link here, and I have two slide sets to
10 give you a sense of what that is, particularly
11 helping with guiding questions -- what, why, who,
12 when, and how when preparing educational resources
13 as well as an in-depth pathway taking you through
14 what an example is, giving a newborn -- using
15 newborn screening implementation pathway and
16 examples. These are both on that website. And, I
17 believe, Iowa and California were the two states -
18 - is that right -- is that right?

19 MS. NATASHA BONHOMME: Texas and New
20 York.

21 DR. BETH TARINI: And New York. I
22 thought Iowa used this. I have Iowa on the brain

1 -- it's still in Iowa. So, at any rate, those who
2 would be interested in using it and providing
3 feedback, please do so.

4 Yvonne Kellar-Guenther from NewSTEPS
5 discussed the video tutorial that she's working on
6 with the group regarding midwife-client
7 discussions about newborn screening and the
8 expected completion for that is summer/fall.

9 And Cate Walsh Vockley spoke with us
10 about training programs for midwives in
11 Pennsylvania, particularly around CCHD screening
12 and donated pulse oximeters for all so there was
13 full coverage for them.

14 We then had a presentation Mary
15 Kleyln, who is the Michigan Newborn Screening
16 Program Manager regarding a general information
17 sheet for parents following positive screen. She
18 presented on this developing project. This is an
19 in-development information sheet that PCPs would
20 use and provide to parents following a strong
21 positive screen. It would also accompany a
22 disease-specific fact sheet that is already

1 provided. And this information sheet contains a
2 resources area to direct parents to websites that
3 would provide helpful information regarding the
4 disorders, an area where families could write down
5 questions to bring to their confirmatory
6 appointment with the specialist, and information
7 about public insurance programs for children that
8 could be used to cover confirmatory testing costs
9 if they arose.

10 So, we had a rich discussion around
11 this project and there were a number of potential
12 collaborations in the room about how we can move
13 this forward or help in any way. We also
14 discussed the relevance of this project to the Ad-
15 hoc Workgroup activities and if there were
16 potential synergies that could be leveraged. And
17 so, I have connected Dr. Kleyn -- I mean I've
18 connected Dr. Baker with Mary Kleyn.

19 We discussed and debriefed the
20 condition nomination evidence review process
21 discussion through the lens of education and
22 training and our charge as such. The main focus

1 of that discussion was on terminology, and the
2 group felt that as far as educational efforts are
3 concerned, that a shared and consistent
4 terminology is the bedrock of any educational
5 efforts around this process, and they echoed
6 concerns about the use of target -- the term
7 target to describe identification of unintended
8 conditions, what we discussed yesterday during the
9 committee.

10 And finally, we also discussed the
11 Ad-hoc Workgroup results and education briefly,
12 and we discussed thoughts about borrowing from
13 existing efforts -- I mentioned Mary Klyne's
14 effort in Michigan, the CLSI Workgroup efforts,
15 especially around terminology -- and the potential
16 future value from this effort, not necessarily as
17 an immediate result but long-term, particularly
18 development of templates that could be used
19 regarding these issues across newborn screening
20 stakeholders.

21 And finally, our specific projects
22 have been completed -- our Education and

1 Communication Guides -- and we are working to
2 disseminate it to the channels that the numerous
3 members have and their areas of expertise and
4 contacts. We are off-cycle, if you will, in our
5 projects, and so next steps, we will work with the
6 committee and HRSA regarding new initiatives for
7 our committee that would be most helpful for the
8 community and the committee. Any questions?

9 DR. JOSEPH BOCCHINI: Thank you,
10 Beth. Let's open this for questions, comments,
11 including those who are on the telephone. All
12 right. Hearing none, thank you very much. I
13 appreciate it.

14 Next update is from the Laboratory
15 Standards and Procedures Workgroup. Dr. Kellie
16 Kelm will make this presentation.

17 LABORATORY STANDARDS AND PROCEDURES WORKGROUP

18 DR. KELLIE KELM: Do I do the slides?
19 Yes? Good. So, we had a fantastic meeting
20 yesterday. We also had some new members join, and
21 I didn't choose red. So, Stan Berberich and
22 George Dizikes have returned, gotten new terms on

1 a workgroup, and we had two new members, Nathalie
2 Lepage from Ontario and Miriam Schachter. And so,
3 they were able to join us by phone, and it was
4 great to have those two new folks. So, I included
5 all of our --

6 So, one of our new projects that we
7 had -- it's been recent -- was that the committee
8 gave us was the impact of broad phenotypes in
9 labs, i.e. share lessons, learn on identifying
10 late-onset Pompe or SMA, et cetera. And so, we
11 did have some -- number one, we had an update from
12 APHL on the limitation of screening for new
13 conditions, and then we had presentations from two
14 states on screening for SMA and their experiences.

15 So, briefly, APHL told us about their
16 recent activities in the New Conditions
17 Implementation update, so they have funded sixteen
18 states for implementation projects and three
19 states as Peer Network Resource Centers. So, the
20 three Peer Network Resource Centers are three
21 states that are early adopters for screening of
22 the three conditions. That's sort of to help

1 other states with questions and technical
2 assistance, and these states include both states
3 that do mass spec for screening and use the
4 digital microfluidics platform. So, the great
5 idea is that if people are bringing on the
6 different technologies, that they can get help
7 from these three states for either of those.

8 APHL also let us know that there
9 would be a New Conditions Workgroup starting soon
10 and that George Dizikes and Amy Gaviglio will be
11 the co-Chairs, and they will be setting up
12 webinars with topics of interest from the states
13 as well as more technical assistance. APHL said
14 that they've gotten additional funding for SMA and
15 other disorders as they're added for the next five
16 years. So, this type of help will be ongoing.

17 So then, we heard from Anne Comeau,
18 who gave us an update on adding SMA screening in
19 Massachusetts, and so, she had a lot of details on
20 their first- and second-tier tests, very detailed
21 molecular details that I would not be able to
22 explain them, both because I would need to do --

1 have done some more homework last night to remind
2 myself of molecular testing. But, obviously, I
3 don't want to mess up any of the details that she
4 shared. But if you have questions about their
5 first- or second-tier tests, obviously, you can
6 talk to Dr. Comeau. But they have an assay that
7 they're doing just in Massachusetts. It is a
8 single plex assay. They're doing it separately
9 from SCID, and the only thing is that they are
10 extracting the DNA before they are doing the
11 molecular testing, and they're detecting
12 homozygous absence of SMA1 exon 7, and they are
13 not detecting carriers.

14 So, this is their current screening
15 algorithm. So, they have a process for detecting
16 babies that have in-range results, but then
17 indeterminant tests that would need retesting,
18 either where they need to back and get a new
19 specimen because after a second retest, it's
20 obvious that they will not be able to get a valid
21 test and then those where they have moved on on
22 the right side to retesting tier 1 and then doing

1 their tier 2 test.

2 And so, she provided a lot of details
3 on some of the experiences and the results they've
4 received, and I'm not going to go into that. But,
5 as I said, you can talk to Dr. Comeau if you have
6 any questions.

7 So, as of April 16th, they've
8 screened about 70,000 babies in Massachusetts, and
9 this is just showing you that out of the 60,000 --
10 70,000, they've had 90 that have gone on and
11 needed -- where they have done tier 2 testing; 70
12 percent of those have actually been NICU babies,
13 and they had 1 that they moved forward with
14 confirmatory testing, and I can tell you that that
15 one was a false positive, and it appears that the
16 specimen contained an inhibitor, and they've been
17 doing a lot of work with that specimen to show
18 that. So, at this point, they don't have a true
19 positive in their screening in the past year in
20 Massachusetts.

21 We then got an update from Utah. So,
22 this is a little bit of detail on their assay.

1 So, they have multiplexed it with the SCID, and
2 they -- they actually don't do a separate
3 extraction, it's all in -- all together, and you
4 can see that they have details here on using the
5 Roche LightCycler and their 384 well format for
6 testing. Let's see. So, at the beginning, their
7 process for SMA screening and diagnostic workflow
8 was the following. So, first screen, repeat
9 screen, and then sending on for diagnostic testing
10 after two abnormal. Let me see. And then SCID
11 was similar in first screen, repeat screen, and
12 then flow cytometry.

13 So, at this point, they have
14 identified two cases, and so both of these babies
15 had three copies of SMN2 and they have information
16 that both of these babies have opted for the gene
17 therapy trial.

18 So, here are the statistics they
19 provided in terms of the number of repeats they've
20 had to do. SCID was added to this -- they're
21 giving the results just of this assay, and so the
22 reason why SCID is less is because they added in

1 SCID into this assay later than SMA.

2 So, one of the things that he noted
3 was they have had two false positive SCID cases.
4 This is specifically with this new multiplexed
5 assay. And the hypothesis is -- and apparently,
6 they're not the only ones that have seen this --
7 is that now that they've multiplexed it with SMA
8 is that it has changed the assay and that they
9 have actually seen some issues with increased rate
10 of false positives with the multiplexed assay.

11 So, what they've done in Utah is they
12 actually had also validated the PerkinElmer EnLite
13 TREC assay, and so for SCID, they've actually
14 added that if they have two abnormal screens, that
15 they'll actually go to the PerkinElmer EnLite TREC
16 assay before they move onto flow in order to
17 reduce the false positives. So, that's what Utah
18 has presented as their current screening algorithm
19 for SCID with this multiplex assay.

20 So, lastly, we did have a brief
21 discussion about the discussion of the committee
22 about the condition nomination evidence review

1 process, and the top two bullets are pretty much
2 exactly what Beth Tarini said in her own blurb.
3 So, we agree on the need to define the terminology
4 for the evidence review process and what came up
5 from multiple folks was that they also dislike to
6 use the word target. So, I think there was
7 preference for, you know, using case definition or
8 condition, and they also agree that we have to set
9 the case definition for the condition under
10 consideration because while Beth said it was
11 essential for education, for us it's essential to
12 know what the laboratory is supposed to find.

13 Still having discussions about
14 whether identifying carriers is a benefit or harm,
15 and I know a lot of times, that really depends on
16 your lab and your viewpoint. And what was also
17 raised is that often we've had -- it's been very
18 difficult to find published evidence of harm, but
19 that doesn't mean that we shouldn't look for it.

20 Other concerns from the workgroup is
21 they'd like to see a better assessment of the
22 availability of the confirmatory test, turnaround

1 time, and making sure that we're getting
2 information on how well those tests perform. And
3 then, more information, if possible, on specialty
4 care availability, so will we actually have the
5 clinical experts -- will we have information on
6 them.

7 And we did have one person share that
8 you know, there are ways to measure family
9 experiences, and it's something that we should
10 consider, and the example given was Maslow's
11 hierarchy of needs. And so, something to think
12 about as we try to do an evidence review for new
13 conditions.

14 So, I think that's it from us.
15 Anyway.

16 DR. JOSEPH BOCCHINI: Thank you,
17 Kellie. Questions, comments? Dr. Parisi.

18 DR. MELISSA PARISI: Melissa Parisi.
19 Kellie, I have a question, and maybe this is more
20 toward -- for Anne Comeau. But I'm wondering
21 about the false positive rate for SMA screening in
22 premature infants and if there's an explanation

1 for that. Is the source of blood coming from a
2 central line, or something that might be
3 contaminating or inhibiting the reaction? Do you
4 have any thoughts?

5 DR. CATHARINE RILEY: Dr. Comeau,
6 would you introduce yourself please.

7 DR. ANNE COMEAU: I'm sorry. Anne
8 Comeau from Massachusetts. As noted, most of the
9 false positives are from NICU babies, and we
10 investigate whether or not anyone is using
11 heparin. We are not using -- we are using an
12 enzyme that would be more sensitive to heparin.
13 Many people refuse -- they deny that they're using
14 heparin. But in the one false positive that we
15 did have, we actually were able to mix that
16 specimen with other specimens, and we were able to
17 dilute it out. This clearly was an inhibitor,
18 most likely heparin.

19 DR. BETH TARINI: This is Beth
20 Tarini. When they take the NICU specimens, do
21 they take it from the heel, or do they take them
22 from the umbilical?

1 DR. ANNE COMEAU: Well, they're
2 supposed to take them from the heel. They're
3 supposed to take them as a heel draw. That
4 particular specimen when we called on it, they
5 told us that no heparin, no heparin, but we used a
6 capillary, and they were re-informed that they're
7 not supposed to use capillaries for this. So,
8 it's this ongoing education and, you know,
9 everybody has a difficult thing to deal with.

10 But, so one last thing is that we do
11 have a very active SCID -- SMA Working Group. Our
12 -- the surveillance is excellent. So, I'm pretty
13 sure that we are not missing any, but I'll knock
14 wood on that.

15 DR. JOSEPH BOCCHINI: Dr. Baker.

16 DR. MEI BAKER: I just have a
17 followup, because you talked about SMA and NICU
18 babies. What's your observation for SCID?

19 DR. ANNE COMEAU: It's the same
20 thing. I think it's not -- so we have -- we have
21 our heavy-hitter hospitals that we have high
22 suspicion use heparin quite a bit. And one SCID,

1 there were more, and they -- they slowed down
2 using heparin because we told them that we were
3 just going to keep on calling them back and asking
4 for repeat specimens until we got a satisfactory
5 specimen, and they didn't like that. But, with
6 SMA, the feeling was that the turnaround time was
7 more important, so that makes it more difficult.

8 DR. DEBRA FREEDENBERG: Anne, how
9 premature were these babies? Were they near term?
10 Were they extreme like 23 or 24 weeks?

11 DR. ANNE COMEAU: A wide range.
12 There were 90 babies. It was a wide range of
13 gestational ages there.

14 DR. SHAWN MCCANDLESS: Anne, while
15 you're up there, maybe you could comment for
16 people who've been looking at the map for the
17 screening almost 70,000 babies for SMA and getting
18 zero positives.

19 DR. ANNE COMEAU: Getting zero, yes.
20 I'm sorry, there was a question?

21 DR. SHAWN MCCANDLESS: Yeah. What -
22 - how does -- what was your expectation and what

1 do you think the -- what's the probability that
2 you would screen 70,000 infants for a disease
3 that's said to have a --

4 DR. ANNE COMEAU: One in ten
5 thousand.

6 DR. SHAWN MCCANDLESS: One in ten
7 thousand and not get any positives.

8 DR. ANNE COMEAU: Yeah, pretty low,
9 and when we got to about 30,000, I started having
10 nightmares. Every single one of those has been
11 sequenced, so we know that every single one of the
12 90 presumptive positives that went on has been
13 sequenced. My speculation with very limited
14 evidence is that Massachusetts has a quite active
15 prenatal and preconceptual offering of the Council
16 Panel of Disorders and SMA and Pompe are on that
17 Panel as I understand it MPS1 is not, and this
18 year, we went forward with screening for four
19 conditions, and the Pompe, which is lower anyway,
20 but Pompe and SMA are way lower than the disorders
21 that we think are not on those panels.

22 DR. SHAWN MCCANDLESS: That would be

1 a really nice publication if that's true to
2 confirm.

3 DR. ANNE COMEAU: Yeah, I have no way
4 of proving it though.

5 DR. SHAWN MCCANDLESS: To confirm the
6 value of carrier screening, which many people
7 suspect is not going to be an effective way of
8 screening and really this would suggest it could
9 be.

10 DR. ANNE COMEAU: It's very
11 important. Thank you. It's very important. We
12 actually saw this with CF when we -- we're on 20
13 years of screening for CF and when we first
14 started, we were getting 30 babies a year, and
15 right about the time that the -- the prenatal
16 testing was offered, 30 babies dropped to 15
17 babies a year, and the 15 babies that were missing
18 were the Delta 508 homozygotes. We published --
19 we published that. That was really good solid
20 evidence-based information. I don't have the
21 evidence base for the prenatal testing of this.
22 It would be wonderful though and thanks.

1 DR. SHAWN MCCANDLESS: Thank you.

2 DR. JOSEPH BOCCHINI: All right. Any
3 additional questions or comments for Dr. Kelm? On
4 the telephone? All right. Hearing none, thank
5 you very much. Thank you.

6 RUSP CONDITION NOMINATION AND EVIDENCE REVIEW

7 PROCESS: FOLLOWUP DISCUSSION

8 DR. JOSEPH BOCCHINI: All right.

9 Next on the agenda we put a few minutes for any
10 further discussion that the committee might have
11 or organizational representatives related to Dr.
12 Kemper's presentation yesterday and Dr. Powell's
13 discussion, and then now, after the couple of
14 workgroups have had the opportunity to really
15 discuss some of the issues that were presented, is
16 there further -- and you've all had a chance to
17 think about this -- is there any -- are there any
18 further comments, questions that -- that might be
19 directed toward the review, and how to go forward
20 with additional things? I think the two
21 workgroups that had a chance to discuss some of
22 what was presented have given back some feedback

1 that would be helpful to Dr. Kemper, and the --
2 his group. Any other comments or questions? Yes,
3 Annamarie.

4 MS. ANNAMARIE SAARINEN: I don't --
5 okay, now it's working. I'm just moving a little
6 closer to it. What has been done historically
7 either out of this committee or handed off to
8 someone else with regard to newborn blood spot
9 screening in the NICU? I am sorry that that spun
10 out of that thing, but I'm just like, you've got
11 to be kidding me that, you know, there's blood
12 being taken from different sources and obviously,
13 that's going to have an impact, and I can see
14 spending a lot of time in NICUs, I can totally see
15 how that would happen. But I just -- I don't -- I
16 don't know what's -- what's been done so far, and
17 I would really like to encourage those of us who
18 are in that space to take -- I would be more than
19 happy to dig into that a little bit with our NICU
20 projects and try to learn more about what's
21 happening and how the education resources may not
22 be reaching them or there's something that's still

1 needed.

2 And then, while I have the
3 microphone, since I didn't say thank you and
4 congratulations yesterday, I will do that on the
5 public record. Thank you for your leadership and
6 your service. It's been a privilege.

7 DR. JOSEPH BOCCHINI: Thank you,
8 Annamarie. That is a really good question, and
9 I'm not aware of what's been done or what the
10 current status of that is, and that might be
11 something that -- Kellie, you've got some
12 information?

13 DR. KELLIE KELM: Well, one of the
14 things with timeliness is we did sort of talk
15 about whether or not we can move forward, because
16 that was something that we heard a lot was
17 education of the nurses, a lot of turnover in the
18 hospitals, you know, with unsatisfactory
19 specimens, not even touching on the NICU. And so,
20 then there was some discussion could we talk to
21 the Joint Commission about adding a standard. We
22 tried to reach out to them, and they weren't very

1 receptive. And so, that is an issue.

2 I'll also share that I know that
3 having served on the CLSI document for, you know,
4 blood collection, that has a lot of that
5 information, and I know a lot of folks, it's one
6 of their most purchased ones. They have videos,
7 they have everything, you know, they're trying to
8 work on so many different ways to provide all that
9 information in a way that's even, you know, they
10 talk about whether or not we can do it on apps or
11 something like that. But, you know, what is a
12 way, you know, and I think that's already
13 information that's already being put together by
14 experts that could be shared, and I think that's
15 one of the questions. So, I'm not sure how we
16 could do it better. I mean, we've had a lot of
17 discussion about that and how we could -- we could
18 do that, but I think that's been a struggle.

19 And a question about time and effort
20 of the committee and figuring out how best we
21 could do that, so.

22 DR. JOSEPH BOCCHINI: And that

1 certainly can be a topic that Dr. Powell considers
2 going forward. I'm trying to be good. All right.
3 Other questions or comments? Natasha.

4 MS. NATASHA BONHOMME: Natasha
5 Bonhomme, Genetic Alliance. I was just going to
6 add to that. One thing that we saw, and this is
7 not just in NICU but in working nurses in general,
8 is sometimes even hearing what you're supposed to
9 do is not as effective as hearing what happens
10 when you don't do it right, like kind of what are
11 the outcomes. So, I think even if -- and I
12 haven't seen the CLSI materials -- but without
13 that piece, then it's -- it makes a difference
14 from someone thinking that oh, this is a
15 preference to oh, if I do it this way, there's
16 actual consequences that I may not see, but will
17 affect this baby. So, that's just something else
18 to be thinking about when thinking about the
19 educational components. It's not just the
20 methodology, but really highlighting why it's a
21 no, like why we don't want to use a particular
22 procedure.

1 DR. JOSEPH BOCCHINI: That's a good -
2 - good point. Melissa.

3 DR. MELISSA PARISI: Melissa Parisi,
4 NIH. I just noticed from the comments made from
5 the public commentary that some issues were raised
6 about both homocystinuria and CAH, and I know in
7 the past, we've had opportunities to sort of
8 discuss issues that may arise with existing
9 screening and potentially need for re-examining
10 the methodology that's used, and so I just wanted
11 to raise those two to the level of potential
12 consideration for a future meeting. I know we did
13 the same, I think, for succinyl acetone and
14 tyrosinemia, and so this would be -- these two
15 would be other examples. Thank you.

16 DR. JOSEPH BOCCHINI: Perfect. Thank
17 you. Mei.

18 DR. MEI BAKER: Yeah, I just want to
19 follow Melissa's comments, actually on CAH is
20 making me thinking. The public comments mentioned
21 that false positive and I think that a lot of
22 states have second-tier -- use a sterile profile

1 for the second-tier, and that's helped with
2 sorting out the 17-OHP elevation is truly a
3 deficiency at all, because most of the NICU babies
4 there have this stress. But I recently learned at
5 an APHL meeting, Kiki from Minnesota did a very
6 good presentation. Actually, CAH is challenging
7 not only false positive but also false negative,
8 and the false negative -- when you have both and
9 you have a different way to dealing with it.
10 Actually, personally I was looking more into CDC
11 has done a very good job of working with Minnesota
12 to develop an assay to do the more legal part, and
13 that can -- that probably should be taken into
14 consideration too.

15 DR. JOSEPH BOCCHINI: Thank you.
16 Other questions or comments? On the telephone?
17 Okay. Thank you all very much for the input.

18 So, as we're nearing the end of the
19 second day of this meeting and we're in the middle
20 of a Chair transition, Dr. Powell would like to
21 come forward and talk about her vision for moving
22 this committee forward. And so, we've given her

1 time on the agenda, and she's titled her
2 presentation On the Horizon. Cindy.

3 ON THE HORIZON

4 DR. CYNTHIA POWELL: Thank you, Dr.
5 Bocchini. So, I'd like to spend a little bit of
6 time just reviewing the last charter for this
7 committee, and forgive me for reading the
8 objective and scope, but I think it's a helpful
9 reminder for all of us of what our -- our
10 objectives are.

11 So, the committee provides advice,
12 recommendations, and technical information about
13 aspects of heritable disorders and newborn and
14 childhood screening to the Secretary of HHS for
15 the development of policies and priorities that
16 will enhance the ability of the state and local
17 health agencies to provide for such screening,
18 counseling, and health care services for newborns
19 and children having or at risk for heritable
20 disorders.

21 The duties include making a
22 systematic evidence-based and peer-reviewed

1 recommendations, providing technical assistance to
2 individuals and organizations regarding the
3 submission of nominations to the Uniform Screening
4 Panel, developing a model decision matrix for
5 newborn screening expansion, including an
6 evaluation of the potential public health impact,
7 including the costs of such expansion, and
8 periodically update the Recommended Uniform
9 Screening Panel as appropriate based on such
10 decision matrix.

11 And I think our current process,
12 which will be ongoing of reviewing the nomination
13 and evidence review is extremely important and how
14 we can look at improving the process and helping
15 those who wish to nominate conditions.

16 We also consider ways to ensure that
17 all states attain the capacity to screen for the
18 conditions that are recommended to the RUSP and
19 certainly the funding that comes from the -- the
20 CDC and HRSA and NIH that can help with that is
21 extremely important.

22 We provide recommendations, advice,

1 or information as may be necessary to enhance,
2 expand, or improve the ability of the Secretary to
3 reduce the mortality or morbidity from heritable
4 disorders.

5 And, as you know, we have several
6 standing workgroups whose Chairs or co-Chairs
7 presented to us, and there are workgroup charges,
8 and I thought looking briefly at what those
9 charges are.

10 For the education and training
11 workgroup, they are to review existing educational
12 and training resources and to identify gaps and
13 make recommendations regarding the following five
14 groups: health professionals, parents, screening
15 program staff, hospital/birthing facility staff,
16 and the public.

17 For the Followup and Treatment
18 workgroup, to identify barriers, to post screening
19 implementation and short- and long-term followup,
20 including treatment relevant to newborn screening
21 results; to develop recommendations for overcoming
22 identified barriers in order to improve

1 implementation, and short- and long-term followup,
2 including treatment relevant to newborn screening
3 results; and to offer guidance on the
4 responsibility for post-screening implementation
5 and short- and long-term followup, including
6 treatment relevant to newborn screening results.

7 And finally for Laboratory Standards
8 and Procedures, to define and implement a
9 mechanism for the periodic review and assessment
10 of the conditions including in the uniform panel;
11 the infrastructure and services needed for
12 effective and efficient screening of the
13 conditions on the panel; and laboratory procedures
14 utilized for effective and efficient testing of
15 the conditions included in the uniform panel.

16 So, as you know, we also have Ad-hoc
17 Workgroups, currently the one interpreting newborn
18 screening results led by Dr. Baker, and I think
19 going forward, thinking about, you know, is the
20 current charge and scope of the workgroups meeting
21 the needs of the committee? Are they adequate to
22 address current and future needs? And I think

1 going through a similar process that was done
2 initially at the formation of the workgroups may
3 be helpful to get information and feedback back
4 from the workgroup members as well as the
5 committee members in terms of are there other
6 needs that aren't being addressed? Is there a
7 need for any other Ad-hoc workgroups? Is
8 expanding the scope of the current workgroups
9 appropriate? Specifically, for the Education and
10 Training Workgroup, which I've been serving on and
11 Dr. Tarini told us today that, you know, we've
12 completed products and are really ready to take on
13 other initiatives and other, you know, procedures
14 in order to go forward with that, so, an
15 opportunity there.

16 Transparency is certainly an
17 important aspect of what we do. Based on the
18 requirements of FACA, certainly transparency in
19 terms of the public nature of the committee
20 meetings and the opportunities available for the
21 public to present their views, I think in the
22 future if we can have more time perhaps for

1 additional comments from the public, you know,
2 knowing that there are some time constraints with
3 our meeting schedule, but that that would be
4 helpful.

5 And I also think that on the part of
6 organizations and experts who are nominating
7 conditions for us to consider, that improving the
8 transparency would be very helpful and to have
9 more information regarding outcomes instead of
10 having to go through the -- the gray literature
11 that's out there, ideally having more publications
12 in peer-reviewed journals for us to consider when
13 we're -- we're going through the evidence-based
14 reviews would be extremely helpful.

15 So, there's many challenges. As we
16 heard at our -- our online meeting last month,
17 there are 7,000 rare disorders out there. Only
18 about 5 percent of them currently have treatments
19 available. It's been estimated that there are
20 about 450 medicines in development to treat rare
21 disorders, and often pre-symptomatic treatment is
22 superior. Early diagnosis is important, and, you

1 know, I -- my belief is that there will be an
2 accelerated pace of conditions that are submitted
3 for nomination, and one of the challenges of our
4 committee is how to keep up with the volume while
5 maintaining a thorough evidence-based review and
6 some ideas that have been suggested about that are
7 to look at, you know, panels of conditions or
8 groups of conditions versus individual conditions.
9 So, will we -- I don't have an answer to this --
10 but will we need to have a high-throughput review
11 process?

12 And, as we've heard today about
13 registries and really, you know, excellent
14 registries that are out there, but I think we're
15 only going to be able to look at the long-term
16 outcomes of newborn screening conditions in
17 patients if we have registries that are easily
18 accessible, that are maintained long-term, and,
19 you know, if I had my ideal situation with all the
20 resources available to have single registries that
21 would -- a single registry that would include all
22 rare conditions, especially those that we're doing

1 newborn screening for, and long-term followup.
2 So, to have input both from clinicians as well as
3 families. We want as accurate clinical
4 information as possible, but we also want to learn
5 about family experiences, what they're going
6 through with, you know, having a child who has
7 screened positive, especially with more and more
8 of the conditions that are included on the panel
9 where the majority have late-onset and some may
10 have, you know, no -- no onset of the disorder --
11 we know such as for X-ALD, for example. So, how
12 do we provide appropriate long-term followup for
13 these conditions, support families, keep track of
14 families as they move from one state or region to
15 another? So, these registries are very important
16 in the work of this committee to investigate and
17 hopefully make recommendations in the future about
18 what we can do to have states be able to be
19 supportive in their -- their registries.

20 You know, we know that California has
21 done an excellent job in following children for at
22 least the first five years of life. And, you

1 know, we've seen the benefits from registries in
2 terms of what's been done for childhood cancer,
3 and it's only been from the data that they've been
4 able to collect and share that the survival rate
5 for childhood cancers has increased greatly in the
6 last 20 to 30 years.

7 So, I wanted to talk about timing,
8 not in terms of timeliness of getting those dried
9 blood spot cards into the state labs, but to think
10 about timing of newborn screening. And, you know,
11 we know that there are conditions with onset in
12 the newborn period, for which our newborn
13 screening results come too late. And would there
14 be, you know, benefit in screening in the prenatal
15 stage -- so, what I'm calling prenatal newborn
16 screening. Examples of these conditions are urea
17 cycle disorders, you know, we know that often with
18 OTC deficiency, babies crash, you know, have
19 severe metabolic crisis before the newborn
20 screening results come in, even when they've, you
21 know, collection has been timely, the laboratory
22 reports them out quickly, but the hyperammonemia

1 comes on and it often causes severe brain damage
2 before we, you know, know what the condition is
3 from the newborn screen. That also can use
4 examples of some of the organic acidemias, fatty
5 acid oxidation disorders, that also can -- can
6 present that way. We know that breastfed babies,
7 often the first infant born to a mother who is
8 breastfeeding, they're discharged at 24 to 48
9 hours, and, you know, they aren't getting enough
10 intake, and have severe hypoglycemia, suffer brain
11 damage before we get those newborn screen results.

12 Also, we know that there are some
13 conditions that likely already begin in utero.
14 One of the reasons that's been hypothesized for
15 the not-ideal-outcomes for children of Krabbe
16 disease who are diagnosed through -- in some
17 states through newborn screening is that the
18 process starts in utero. Also, in the most severe
19 form of spinal muscular atrophy or type 0, those
20 motor neurons have begun to die prior to the
21 infant being born.

22 And at the last ACMG meeting, there

1 was a session about prenatal treatment for genetic
2 disorders and from the right side of the slide,
3 using maternal stem cells to treat the fetus and
4 do stem cell therapy in utero is still at an
5 experimental stage but having some success. The
6 engraftment is improved, and this is being done --
7 studies are ongoing for hemophilia A,
8 immunodeficiency alpha thalassemia. There is
9 certainly risk that has to be considered to the
10 other, the fetus, or the fetal germline, but, you
11 know, things are progressing in that area. So,
12 another reason that doing screening on the -- the
13 fetus at an earlier stage, prior to birth, may be
14 something to consider.

15 And then, in the upper left are two
16 twin brothers who were treated in utero for X-
17 linked hypohidrotic ectodermal dysplasia, a
18 condition where children do not sweat, and so they
19 can't be in a hot environment. They'll suffer
20 heat stroke easily unless they're wearing a
21 cooling vest. And anyway, these little boys were
22 treated through using the protein product that's

1 deficient in this condition during the second and
2 third trimesters of their mom's pregnancy with
3 them, and they actually grew sweat glands and are
4 now able to function quite normally outside,
5 whereas their older brother who was not treated
6 has severe classic type of ectodermal dysplasia,
7 very heat-sensitive. It remains to be seen
8 whether it's going to improve the dental
9 development, which is also a problem, but not
10 nearly as life-threatening a problem as the lack
11 of sweating is.

12 There are also conditions for which
13 later detection may be sufficient and perhaps more
14 appropriate. However, and I think this committee
15 in the past has kind of looked at the possibility
16 of a later-in-childhood screening, but I think the
17 challenge of this is to make it accessible for
18 everybody and to avoid health disparities.

19 So, I've used this slide for many
20 years in teaching our residents and other
21 physicians about newborn screening, and I think I
22 don't have to explain it much for most of you in

1 here, who are aware of the short-term followup,
2 but then the very long period of long-term
3 followup that's needed for conditions that we
4 screen for in newborns. So, when we, you know,
5 have an infant who screens positive, we do
6 confirmatory testing, we confirm the diagnosis, we
7 institute therapy, and then it can be a lifetime -
8 - decades of long-term followup. And as the
9 doctor who started my Division of Genetics and
10 Metabolism at UNC used to say, "If we don't
11 provide treatment for women who are pregnant with
12 PKU, all the newborn screening in the world is for
13 naught." So, we have to think about that again
14 with especially these conditions that have adult-
15 onset.

16 And the complex newborn screening
17 system, it's not just the state laboratories --
18 although their critical -- but the need for a
19 medical home, consideration of the family, the
20 specialist medical services, as Dr. Watson
21 mentioned earlier, the shortage of specialist
22 providers, and it's not just in genetics. It's

1 also for pediatric neurologist and pediatric
2 endocrinologists. There's a severe nationwide
3 shortage of pediatric specialist providers.
4 Thinking about the community resources available,
5 therapy services, insurance and Medicaid coverage.
6 In my state, Medicaid will not cover for any
7 genetic testing, so that if we don't do that
8 genetic testing as part of the newborn screening,
9 we're not going to be able to order testing or my
10 hospital won't be reimbursed -- it's not that we
11 don't order it and try to slip under the radar so
12 the hospital administration doesn't get on us for,
13 you know, having these unreimbursed expenses --
14 but anyway, I think that's something we have to
15 think about when so much of the second- and third-
16 tier or confirmatory testing involves genetic
17 testing, sequencing or even targeted genetic
18 testing and how are we going to make sure that
19 that gets done, available to everybody and paid
20 for.

21 And then, the others involved in the
22 newborn screening process are genetic counselors,

1 metabolic dieticians and nurses, and then the
2 complex therapeutics. It's much more than a
3 specialized diet. We're now dealing with
4 intrathecal therapy, very expensive therapies,
5 stem cell therapies, gene therapy, et cetera.

6 So, there's a lot of things to
7 balance out in this, and we have our advocacy
8 groups and new treatments, new conditions. Two or
9 three times I get an alert about a new genetic
10 cause that's been identified for a rare condition.
11 We have new screening methods being developed.
12 But we also have to consider the health care
13 costs, the impact on the newborn screening system,
14 the ethical issues involved, equal access to care,
15 evidence-based reviews, pilot studies, data, long-
16 term outcomes, and at the foundation is research,
17 and not just basic science research but
18 translational research and also funding for, you
19 know, very important pilot studies, and as I've
20 already talked about, for the registries.

21 So, we're in the world of genomics.
22 We hope to have another presentation in the future

1 from some of the EnSight Groups. We heard from
2 those involved in the Ethical Studies of the
3 EnSight projects, which we're looking at the
4 utility of the use of genomic sequencing in
5 newborns, and we hope in the future to hear about
6 some of those projects that are now being
7 completed.

8 We have, you know, fascinating work
9 being done for new treatments, new screening
10 methods. I think at our last meeting, I think, it
11 was Dr. Ostrander who brought up about direct-to-
12 consumer testing, and that's something that's here
13 now. I am reminded of the -- the work or the
14 direct-to-consumer push that was done for tandem
15 mass spec back when that was just getting started,
16 and it was being offered to families. Often
17 grandparents would purchase it for their -- their
18 grandchildren to get the tandem mass spec. This
19 was before the vast majority of states were
20 offering it. I think it did move things forward,
21 so maybe that is a way potentially, you know, to
22 move this forward and to give us more data about

1 the use of some genomic testing as part of an
2 expanded newborn screening.

3 So, I'm taking advice from my cousins
4 in the UK and also from Hippocrates. So, keep
5 calm, and first do no harm. So, I think on the
6 horizon, the horizon is bright. There's a bright
7 star on the horizon. It's an exciting time. It's
8 very challenging. I will say that specifically
9 for -- on the horizon, we do plan to have a
10 presentation at the August meeting from the CDC
11 about their work, looking at the challenges of
12 homocystinuria newborn screening and, you know,
13 looking at the high false-negative rate for
14 homocystinuria and ways that that might be
15 improved. We've asked Dr. Kelm and the Laboratory
16 Workgroup to begin to look at this area and
17 hopefully make some recommendations to the
18 committee at an upcoming meeting.

19 Finally, I'd must like to acknowledge
20 again Dr. Bocchini and his, you know, just support
21 and guidance to make this transition as smooth as
22 possible, and again thank him for all he's done.

1 To Dr. Howell, who has always been a
2 source of historical information and support and
3 guidance, and hopefully we'll continue to work
4 together over the years.

5 Dr. Dianne Frazier, who's now retired
6 but one of my dear friends and colleagues who
7 taught me a great deal about newborn screening in
8 North Carolina.

9 And Dr. Kirkman, who I mentioned
10 earlier, who helped get newborn screening started
11 in North Carolina and started our division.

12 Dr. Don Bailey is the -- he's a
13 mentor of mine, somebody I've worked with for many
14 years on various projects and has been a member of
15 this committee in the past. Thank him for his
16 support.

17 To my fellow committee members, our
18 organizational representatives, our workgroup
19 members, the HRSA administration and staff, Dr.
20 Warren, Joan Scott, Dr. Catharine Riley, Debi
21 Sarkar, Alaina Harris, and Jill Shugar, who I've
22 known since I was a genetic counselor at

1 Children's National, and Jill was in charge of the
2 DC Newborn Screening Program. I won't tell you
3 how many years ago that was. But anyway, I think
4 Jill was the first person, who a few years ago
5 said to me, you should -- you should put in an
6 application to serve on that committee. So, I do
7 still thank Jill for that. And I'd also like to
8 thank NIH. So, thank you all.

9 DR. JOSEPH BOCCHINI: So, it's very
10 clear that this committee will be in excellent
11 hands as we make the transition. So, thank you
12 Cindy. I think it's very clear from your
13 presentation that you have the skills and the
14 commitment to make this committee move forward in
15 an excellent way. And I think you're very well
16 positioned. I think we have two new excellent
17 members on the committee. Each of the workgroups
18 welcome a series of new participants, new members
19 of each of the workgroups that were added at this
20 meeting, and in August, you're going to have two
21 new organizations that will have representatives
22 here at this meeting. All these will strengthen

1 the activities of the committee and make you have
2 the opportunity to continue the success that the
3 success that this committee has had.

4 I said all of my thank you's
5 yesterday. I just want to repeat what an
6 incredible experience this has been for me and
7 what an opportunity you've all given me to
8 participate in what I think is one of the finest
9 committees in terms of what we all do to help
10 infants and children in the United States and it's
11 very clear from some of the presentations even
12 today how successful the recommendations of this
13 committee have been to improve the outcomes for
14 infants and children and their families. So, I'm
15 very pleased to have had a small part in that.

16 So, as Dr. Powell presented to me as
17 we made the transition a virtual gavel, I will do
18 the same to Dr. Powell. I will give to you
19 [speaking off mic]. That's two microphones down,
20 so I -- or maybe that's a sign from HRSA, I don't
21 know. It's time for everybody to leave. So, I
22 want to thank HRSA particularly. You all know

1 that we -- we had a meeting last month, and so
2 within a one-month period of time, they were able
3 to put together another highly successful meeting,
4 and that goes to the professionalism and their
5 commitment of all of the people at HRSA to make
6 this possible. So, again, this is a great group
7 that supports the work of this committee and has
8 made the committee quite successful. So, thank
9 you all very much. So, with that, I'll conclude
10 before this microphone dies. Thank you all very
11 much. Appreciate it.
12 [Whereupon the meeting was adjourned.]