

1 **SECRETARY'S ADVISORY COMMITTEE ON HERITABLE**
2 **DISORDERS IN NEWBORNS AND CHILDREN**

3 - - -

4
5 Thursday, January 27, 2011
6 Renaissance Dupont Circle Hotel
7 1143 New Hampshire Avenue, N.W.
8 Washington, D.C.

9
10 **AFTERNOON SESSION**

11 (1:10 p.m.)

12 CHAIRPERSON HOWELL: Before we start, I'm
13 going to ask Michele if she will take the roll to see
14 who's on the phone.

15 DR. LLOYD-PURYEAR: Dr. Botkin, Jeff Botkin,
16 are you on the phone?

17 (No response.)

18 CHAIRPERSON HOWELL: No.

19 DR. LLOYD-PURYEAR: Ned Calonge.

20 (No response.)

21 Becky Buckley.

22 DR. BUCKLEY: I'm here.

23 DR. LLOYD-PURYEAR: Oh, good. We have a quorum.

1 Who just joined?

2 DR. BOTKIN: Jeff Botkin's here.

3 DR. LLOYD-PURYEAR: Oh, good.

4 Barbara Burton.

5 (No response.)

6 Freddy Chen.

7 (No response.)

8 William Hogge.

9 (No response.)

10 Sharon Terry.

11 (No response.)

12 Theresa Hart.

13 (No response.)

14 Tim Geleske.

15 (No response.)

16 Okay.

17 CHAIRPERSON HOWELL: Excellent. I think we'll

18 start. As you remember, the Secretary, in responding to

19 our letter on SCID, requested a report on the states'

20 implementation of the recommendation to add SCID as a

21 core condition, and also that that screening included

22 key lymphocyte deficiencies to the list of secondary

23 targets, including the surveillance activities conducted

1 through the Newborn Screening Translational Research
2 Network.

3 Now, this report from the committee needs to
4 go to the Secretary by May of 2011. We now are going to
5 hear updates about the state implementation of SCID and
6 various activities under way. I'm sure Jelili will bring this up, but
7 certain activities were ongoing and
8 active in the states at the time this recommendation was
9 made and the NICHD issued a contract, a major contract,
10 whose project officer is Tina Erd, and that contract
11 went to New York State and there's been a lot of
12 activities under that contract. We'll hear about I'm
13 sure all of this as we go along today.

14 Mr. Jelili Ojodu is Director of the Newborn
15 Screening Program at the Association of Public Health
16 Laboratories here in Silver Spring, and Jelili is going
17 to lead this session.

18 Michele has a comment, apparently, that's
19 burning before that.

20 DR. LLOYD-PURYEAR: Sorry. Just a reminder
21 for those who are going to join the committee for
22 dinner, to make sure you please sign up after this
23 session, before the subcommittee meetings. All the

1 committee and organizational representatives will find
2 at their chair the report to the United Kingdom Health
3 Technology Assessment on Screening for Critical
4 Congenital Heart Disease. That report needs to be
5 returned to me tomorrow. You also will find at your place a
6 thumb drive
7 containing the briefing book supplement.

8 CHAIRPERSON HOWELL: Let me add, the U.K.
9 document you have that we've been fortunate enough --
10 Andy Ewer spoke at the conference we'll talk about a
11 little bit later, but he was able to ask the U.K. to
12 provide this draft document. It's not yet been
13 published, so it's still a confidential document.
14 That's the reason that the committee and liaison members
15 have their name on the document, so that we'll retrieve
16 all the documents, as we had promised to the group in
17 the U.K.

18 I might point out, we would encourage you to
19 join us tonight at dinner. The last time we had -- it's
20 a very good restaurant. The last time we were there,
21 Michele Obama was hosting a party at the same place, so
22 we knew we had chosen a good restaurant.

23 Jelili.

1 NEWBORN SCIENCE FOR SEVERE COMBINED

2 IMMUNODEFICIENCY (SCID): STATE STATUS

3 MR. OJODU: Thank you, Dr. Howell. Good
4 afternoon, everyone. Thank you to HRSA for allowing me to lead this
5 session. It's actually an honor. I'm
6 delighted to be here after the thunder-snow that we had
7 over the past 12 hours.

8 Quickly, I have enlisted a number of folks to
9 help me give you an update on what's going on as it
10 relates to screening for severe combined
11 immunodeficiency in states. So let's see here.

12 One of the four speakers that will be speaking
13 this afternoon is Dr. Carla Cuthbert. Dr. Cuthbert will
14 be giving a laboratory update, laboratory algorithms
15 from the states that are currently doing newborn
16 screening for SCID. Among other things, she would also
17 be giving an update from the newborn screening quality
18 assurance program as it relates to QC and PT materials.

19 She will also be giving an update on the total
20 number of confirmed cases, at least up to date from
21 those states.

22 Right after that -- I want to quickly check.
23 Dr. Seroogy, are you on the phone?

1 DR. SEROOGY: Yes, I am.

2 MR. OJODU: Excellent. Dr. Christine Seroogy
3 is an Associate Professor for Pediatric Allergy and Immunology at the
4 University of Wisconsin. She will be
5 giving an update from Wisconsin on follow-up activities
6 and treatment protocols. Being that we have an hour for
7 this session, it wouldn't make sense to do an update
8 from all of the states, so we thought we should choose
9 one of the states, and I chose Dr. Seroogy to give this
10 presentation.

11 Right after Christine will be an update as
12 relates to parent advocacy. There's no need to tell
13 anyone here that the role of advocacy in adding new
14 conditions to the panel, whether it's to state panels or
15 to the recommended panel by the Secretary's Advisory
16 Committee. It's very important. As such, we have
17 invited Marcia Boyle, who's the President and founder of
18 the Immune Deficiency Foundation, who will be talking
19 about advocacy activities as well as educational
20 materials that they have developed as it relates to
21 SCID.

22 Marcia, are you on the line?

23 MS. MARCIA BOYLE: Yes, I am.

1 MR. OJODU: Excellent. Finally, someone who
2 doesn't need any introduction to this committee, Dr. Mike Watson will be
3 giving an update from the Newborn
4 Screening Translational Research Network as it relates
5 to the coordinated activities for what Dr. Howell
6 mentioned earlier, which is the funding that came from
7 NICHD to expand SCID testing in states.

8 So I think I'm going to quickly turn it over
9 to Carla to get going here. We have an hour and I'm
10 going to keep everyone to time.

11 (Pause.)

12 LABORATORY UPDATE

13 DR. CUTHBERT: I'm just waiting to get my
14 slides set.

15 (Slide.)

16 CHAIRPERSON HOWELL: And they are up.

17 DR. CUTHBERT: And they are up.

18 Well, my name is Carla Cuthbert. Thank you,
19 Jelili, for the opportunity to represent the states and
20 what they have been doing.

21 As Jelili said, I was charged with giving --
22 with presenting an update on the experiences of the
23 state laboratories. Just to remind you, to date all the

1 states that are doing screening have adopted the TREC assay, TREC being
2 the marker that they are using for

3 SCID. "TREC" again is short for "T-cell Receptor
4 Excision Circles," and they are episomal DNA, DNA
5 fragments that are not part of chromosomal DNA. So they
6 don't replicate during mitosis. So with each cell
7 division they are diluted within the daughter cells. So
8 the peripheral blood level reflects the t-cell
9 production in the thymus.

10 (Slide.)

11 Now, this particular assay was originally
12 developed to assess thymic function in HIV-infected
13 infants, but now it's been adapted to detect SCID and
14 other t-cell lymphopenia in newborns. It uses real-time
15 PCR-based approach and variations among the states in
16 the assay can be based on their choice of primers and
17 probes and their DNA extraction approaches.

18 There are currently four states that are
19 performing this assay in house. The first state that
20 actually got going was Wisconsin. Then there was
21 Massachusetts, California, and New York. These are the
22 states that I will be giving you very brief updates on.

23 Now, before I get onto this, what I'm actually

1 going to be presenting is, with each of the states, is
2 just a very brief history and the current status, just a
3 single slide, and then some of their data. If anyone's
4 interested in their algorithms, I do have them. I just
5 didn't want to go over that, given the time constraints.

6 (Slide.)

7 With respect to Wisconsin, their journey began
8 in 2006, which was the end of that particular year. In
9 November and December, the Jeffrey Modell Foundation and
10 the Children's Hospital of Wisconsin provided \$250,000
11 matching funds in support of the Wisconsin newborn
12 screening SCID program. The Wisconsin State Laboratory
13 of Wisconsin also provided an in-kind contribution.

14 In January of 2007, just a month later, they
15 announced the Wisconsin newborn screening SCID program.

16 Later that year, during that entire year, they began
17 optimization of the TREC assay, and they started
18 screening anonymized newborn screening cards.

19 In January of the year following, Wisconsin
20 then launched routine newborn screening for SCID. So
21 they are pretty much at three years of screening right
22 now. From 2000 to now, they have demonstrated efficacy

1 of the TREC assay to detect SCID, and we'll see that in
2 the next couple of slides.

3 Just to point out that in October of 2008 they
4 received a three-year grant from CDC in support of their
5 activities.

6 (Slide.)

7 Just to give the results of their testing.
8 During the three-year period, the number screened that
9 you will see representing the four states goes from
10 their time of implementation of SCID testing to December
11 31st, 2010. That is a little bit of a typo. We're not
12 anticipating how much they would screen until the end of
13 this year, so that's 2010.

14 So the number screened in Wisconsin is just
15 over 200,000. 18,000 of those infants are premature, so
16 that's about 10 percent of the infants were premature.

17 In terms of abnormal results, they received
18 about 160 using the algorithm that they used. 93 of
19 those 160 were premature and 67 of them were full term.

20 Inconclusive results were received on 288, and again of
21 that the breakdown was that a significant number of
22 those infants were premature. Again, they went through

1 their own series of algorithms and sent their samples
2 out for follow-up, and their final results came back
3 with five patients with severe lymphopenia.

4 These five cases break out as follows. They
5 had one patient with idiopathic lymphopenia. Some
6 regular IVIG and a bone marrow transplant is being
7 planned. There's a patient with a Rac 2 mutation, with
8 successful bone marrow transplantation. Idiopathic
9 lymphopenia again, with bone marrow transplantation
10 planned. There's a T-negative, B-negative, NK-positive
11 SCID patient with a successful BMT, normal TRECs.
12 Finally, there is an ADA SCID a possible gene therapy is
13 being considered.

14 (Slide.)

15 In terms of evaluating the assay performance
16 for these full-term babies, there is a sensitivity of
17 100 percent, meaning there's no known false negatives
18 reported to date; positive predictive value of 40
19 percent, based on their Flow results; specificity of
20 greater than 99 percent; and their detection rate for t-
21 cell, severe t-cell lymphopenia, that would be the five
22 cases in the 206,000 or so newborns, and that gives one

1 in 41,396.

2 (Slide.)

3 This just indicates the funding support that
4 they currently receive. They are funded -- they have
5 been funded by the Jeffrey Modell Foundation, Children's
6 Hospital of Wisconsin, Wisconsin State Laboratory of
7 Hygiene, and CDC.

8 (Slide.)

9 Let's turn to Massachusetts. Massachusetts
10 again began their journey in March of 2007 with the
11 formation of the SCID newborn screening working group.
12 A little later that year, the development process for
13 the multiplex TREC assay was begun, and from May 2008
14 onward they began work on IRB submissions and a
15 statewide pilot update.

16 Again, Massachusetts was also the recipient of
17 a CDC award, a three-year award that's going to be up
18 this year. They received their award in October of
19 2008.

20 In February 2009 and onward, statewide newborn
21 screening for SCID occurred, so that's their anniversary
22 date. From September 2010 onward, they have been

1 engaging in screening in parallel, screening for SCID in
2 parallel with Texas. Again, Texas is screening --
3 beginning to screen in this pilot, and they are just
4 sending some samples to Massachusetts to correlate.

5 (Slide.)

6 So in terms of their results, they have had
7 143,000 or so initial specimens, and of these a little
8 over 800 parents declined SCID newborn screening. There
9 were over 800 again with no recorded consent for SCID
10 and a little over 1700 had a program-wide unsatisfactory
11 specimen submitted. So this resulted in 139,724 valid
12 specimens that would be used for the SCID program.

13 You can see here, 120 were unsatisfactory for
14 this assay. There were a little over 139 that were
15 screened negative, 345 were screened positive, and of
16 those, according to their algorithm, 29 were referred to
17 Flow cytometry.

18 (Slide.)

19 So the next slide takes a look at that, those
20 29 cases. So these were abnormal SCID newborn screens
21 and the infants were referred to Flow cytometry. Of
22 those 29, 18 had an abnormal Flow result, 7 are pending

1 Flow. One had a Flow result within the normal limits.

2 One case was closed and two were expired.

3 So of the 18 that had the abnormal Flow
4 result, one was found to have SCID, four with DiGeorge
5 syndrome, one with multiple congenital anomalies, and
6 the rest were t-cell lymphopenias that are still
7 undergoing testing. Three of those were not SCID --
8 well, three were not SCID and no further testing is
9 needed.

10 SCID -- sorry. Six are not SCID and final
11 diagnosis is pending in those six cases. Three, SCID is
12 unlikely, and those cases are pending further workup.

13 The sensitivity is 100 percent. Again, no
14 known cases have been missed.

15 Their funding support has been from the
16 Centers for Disease Control.

17 (Slide.)

18 California. This began in July 2010, where
19 NIH provided \$480,000 for their SCID pilot program. All
20 of the data from that program is going to be sent to
21 NIH. The Jeffrey Modell Foundation also agreed to
22 provide up to \$800,000 matching funds in contribution to

1 the California newborn screening SCID pilot program.

2 (Slide.)

3 In August last year, the pilot program began,
4 on the 16th of August. This is a very interesting model
5 that was set up. It's called a lab within a laboratory.

6 Perkin-Elmer staff is actually doing the testing of the
7 specimens in a defined geographic location in the
8 genetic disease laboratory facility.

9 In September 2010, again they are taking a
10 look at their algorithm. It was initially very
11 conservative at 60 and their cutoff level was dropped to
12 25. This month, again, they have been looking at one of
13 their assays and have been doing some further
14 refinement. They also decided to add nursery, a
15 distinction between a regular nursery versus a NICU, to
16 their flow charts.

17 In terms of their results, they have screened
18 in the last few months that they have been operating --
19 and again, this is to December, the end of December 2010
20 -- 217,515 patients. There were 12 patients that were
21 positive with this. Of those positive cases, four were
22 SCID, one was DiGeorge, there was one with a non-SCID t-

1 cell lymphopenia, three with negative Flow, and three
2 patients expired.

3 As far as the inconclusive results went, there
4 were 229. Ten had a positive -- ten were positive, ten
5 were inconclusive, and 127 negative, and this is with
6 respect to Flow. 23 expired and 7 were lost to follow-
7 up.

8 (Slide.)

9 We're going to take a look at the positive
10 cases from the second heel stick. One wound up being
11 DiGeorge, non-SCID t-cell lymphopenia; four were
12 negative Flow cytometry; one expired; three pending.

13 Those that were inconclusive here, of them one
14 was actually SCID. That was an interesting story. Two
15 were negative Flow cytometry.

16 (Slide.)

17 So with a total of 217 initial specimens, 26
18 were referred to Flow cytometry, and here you see the
19 breakout of the combined data. Again, Mike may probably
20 like to discuss that a little bit more with you.

21 Their funding and support came from the
22 Jeffrey Modell Foundation and from the National

1 Institute of Health.

2 (Slide.)

3 I'm going to get to this. This is just
4 slightly out of order.

5 (Slide.)

6 So New York. They began in October 2009 to
7 discuss the possibility of a pilot program with the
8 North Shore Hospital. From the end of that year to
9 April 2010, they visited with the Modells and had
10 interaction with the Department of Health for in-kind
11 funding.

12 From April to July last year, they optimized
13 the TREC assay. During July of 2010 they got their
14 laboratory configured and began preparation for the
15 implementation of the SCID assay. In September they
16 received finally their regulatory approval, emergency
17 regulation and everything, and they began statewide
18 screening in September.

19 (Slide.)

20 The number screened up until the end of last
21 year was about 76,000, premature being a lot less, but
22 fairly significant, about 10 percent, as with Wisconsin.

1 Abnormal results, they had full-term 223 abnormal
2 results and 85 premature babies with abnormal results.
3 For referral, that amounted to about 109. There were a
4 number of samples that were unsuitable for testing.

5 (Slide.)

6 In terms of outcomes, one of the babies had
7 leukemia and happened to be preparing for transplant.
8 18 of the babies were very ill and with diseases such as
9 trisomy 21 CF cardiac anomalies, and Pena-Shakir
10 syndrome, and meningitis. But of that particular group,
11 one patient was found to have DiGeorge, one with Charge
12 syndrome, three with idiopathic t-cell lymphopenias --
13 leukopenia, and one ADA deficiency. That just shows the
14 breakout of the TREC levels.

15 Funding support was provided by NICHD,
16 Department of Health, and the Jeffrey Modell Foundation.

17 (Slide.)

18 I'm just going to go back because my slides
19 were out of order. With respect to the CDC, CDC
20 continues to provide reference materials for these
21 states and for all interested states or groups who would
22 like to have materials for the TREC assay.

1 Dr. Vogt, who is in charge of this particular
2 project, has materials for the screen-normal, screen-
3 positive, indeterminate samples, and he has many dry
4 blood spots ready for anyone who would like to use them.

5 There are currently monthly send-outs to those states
6 who are interested and groups who are interested. Five
7 blinded reference dry blood spots are sent out.

8 Currently there are about seven enrolled participants,
9 which include of course the four states, Wisconsin,
10 Massachusetts, California, and New York, Perkin-Elmer,
11 and a laboratory in California.

12 (Slide.)

13 That's all I have to say. My last two slides
14 are actually just an indication that there have been
15 several publications, mostly from Wisconsin and
16 Massachusetts, and I know that several are on the way.

17 (Slide.)

18 Thank you very much for your attention.

19 MR. OJODU: Chris, you're up. I'll move your
20 slides for you. You just say "Next."

21 (Slide.)

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23

FOLLOW-UP AND TREATMENT UPDATE

DR. SEROOGY:

Thank you.

I'd like to thank Jelili for inviting me to present our experience at the University of Wisconsin in the newborn screening for SCID. I'm going to present cases that we've managed at our hospital over the last seven months. I think they exemplify the success of the program, the challenges, and also the spectrum of SCID disease.

Next.

(Slide.)

The first SCID infant that I will discuss was born at term via uncomplicated delivery, to parents that were unrelated. The infant had his newborn screen drawn on day of life number one and left the hospital on day of life number two.

I was contacted when the infant was eight days old by the Wisconsin State Lab of Hygiene because of an abnormal result for the SCID screen. The TREC value on that initial sample was zero, and because of that undetectable value we arranged for Flow cytometry that day.

1 Next. (Slide.)

2 The Flow cytometry on this infant demonstrated
3 profound lymphopenia, with very low t-cell numbers, a
4 value of 111, with the lower limit of normal for this
5 age being 2500; also, very low B cell numbers, with an
6 absolute value of 28, again extremely depressed, with
7 the lower limit of normal being 430.

8 This infant did have normal NK cell numbers.

9 When we looked at naive T-cells that are a correlate of
10 thymic function, we found that of the circulating T-
11 cells very few of them were of the naive phenotype.

12 Additionally, the filter card was repeated on this Flow
13 sample, and again the TREC value was zero.

14 So our conclusion based on this finding was
15 that this was consistent or highly suggestive of the T-
16 minus, B-minus, NK-positive form of SCID.

17 Next.

18 (Slide.)

19 This child, for further evaluation and because
20 of the abnormal Flow cytometry, was brought into the
21 American Family Children's Hospital and put into
22 protective isolation. We initiated anti-microbial

1 prophylaxis, intravenous gamma globulin. We suspended
2 breastfeeding because we did not know the CMV status of
3 the mother, and diagnostic testing ensued.

4 Next.

5 (Slide.)

6 This is a slide overviewing all of the
7 diagnostic tests that were done, including genetic
8 sequencing by commercial laboratories as well as
9 research laboratories through Dr. Jennifer Puck and her
10 SCID chip. We also were concerned about a
11 radiosensitive form of SCID based on the phenotyping and
12 obtained skin cells early on to perform radiosensitivity
13 testing, which I'll get to in a subsequent slide.

14 We looked at T-cell function and any evidence
15 of maternal engraftment that would impact treatment
16 decisions. We also, despite thinking it would be
17 unlikely, did biochemical testing for adenosine
18 deaminase form of SCID and that was sent to Duke, Dr.
19 Michael Hirschfield's lab, and that was normal.

20 Next.

21 (Slide.)

22 Gene sequencing was done as rapidly as could

1 be done through the efforts of many people. Here's the
2 list of the genes that were sequenced and did not reveal
3 any deleterious mutation. We did get this data back
4 over a four to five weeks time and did not find any
5 genetic mutation for a lot of the commonly described
6 genes associated with SCID and the type that we would be
7 concerned about with this phenotype.

8 Next.

9 (Slide.)

10 Once our skin fibroblast cell line was
11 established, it was sent to two labs, the laboratory of
12 Dr. Richard Gatti as well as Doctors Moore and Cowen, at
13 UCSF and Berkeley research laboratories. These data I
14 want to point out were not available until ten weeks of
15 age for this infant, given the rigors of this type of
16 assay.

17 But what's shown here -- and I'll direct you
18 to the right side of your screen -- is that our patient
19 does have a component of radiosensitivity. This is a
20 functional assay to look at the ability of fibroblasts
21 to survive when they're subjected to radiation.

22 I'll also point out that our patient, if you

1 compare him to known radiosensitive forms of SCID, does
2 not appear to be, at least from the UCSF data, as
3 severely affected as an Artemis form of SCID or a DNA
4 ligase 4 form of SCID.

5 Next.

6 (Slide.)

7 While we were waiting for the diagnostic
8 testing to become available, we wanted to ascertain that
9 this was indeed a stable phenotype of T-minus, B-minus,
10 NK-plus skid. The way we approached that was by doing
11 serial Flow cytometry, and that data is shown here.

12 What you will see as far out as 45 days of life, our
13 patient had persistently, profoundly diminished T and B-
14 cell numbers, with maintenance of normal NK cell
15 numbers.

16 So we were confident that this was a classical
17 presentation of SCID of the T-minus, B-minus, NK
18 phenotype.

19 Next.

20 (Slide.)

21 We then were faced with the decisionmaking
22 process for a curative approach. There are multiple

1 considerations that go into this decision. One of
2 course is the timing of transplant. Data, particularly
3 from Dr. Buckley's group, have shown that timing is very
4 important, that early leads to better outcomes,
5 specifically under three months of age. That's probably
6 for multiple reasons, including the age of the patient
7 promoting better engraftment, as well as if you do it
8 early you less likely have preexisting conditions, such
9 as infections, which can impact the outcome in
10 engraftment.

11 The donor source is also important. The best
12 donor for hematopoietic stem cells would be a matched
13 sibling, which was not an option in this case. Then
14 you're faced with other sources, such as a parent's
15 donation, a match-unrelated donor, or umbilical cord
16 blood. The literature is less clear on the best
17 approach for SCID.

18 Then the other important consideration is
19 approach to transplantation, and that is the need for
20 conditioning to prepare the patient for the transplant.

21 Again, that is dependent on the form of SCID as well as
22 the donor source.

1 Next.

2 (Slide.)

3 We, given our scenario, searched donor marrow
4 registries and were fortunate enough to find a very good
5 cord blood match for our patient. Given that we were
6 using cord blood and the type of SCID that we were
7 dealing with, the decision was made to use a reduced
8 intensity conditioning regimen, as is shown here. This
9 was undertaken when the patient was eight weeks of age.

10 Because we were using an unrelated donor
11 source, the patient also received graft-versus-host
12 disease prophylaxis as is noted.

13 Next.

14 (Slide.)

15 So in order to monitor for engraftment, we did
16 engraftment studies at the molecular level, which
17 demonstrated engraftment over time. We also monitored
18 Flow cytometry to look at immune cell number
19 normalization. That's what's shown on this slide.
20 Serial Flow cytometry, the latest data I have presented
21 here is 92 days after transplant, do show improvement in
22 T-cell and B-cell numbers as well as NK-cell numbers.

1 I'll also tell you that the patient is now 180 days out
2 from transplant and his last Flow cytometry demonstrated
3 normal immune cell numbers, including normal naive T-
4 cell numbers, which suggests thymic function.

5 Additionally, we've done the dried blood spot
6 TREC analysis on the most recent Flow cytometry and it
7 demonstrated normalization of his TREC values.

8 The summary of the first SCID patient managed
9 at our facility is he was translated on day of life 77,
10 tolerated the procedure well. He was sent home in
11 stable condition on day of life 107 and now, over 6
12 months out from transplant, continues to be clinically
13 stable, with normalization of his immune cell numbers.

14 His molecular diagnosis still is unknown, but
15 there is a very active ongoing investigation. This case
16 may represent a novel mutation of perhaps a previously
17 unknown presentation of a SCID-associated gene.

18 Lastly and I think importantly, this case does
19 provide evidence that implementation of TREC analysis on
20 newborn screening could identify a SCID patient early to
21 allow for successful transplantation, while minimizing
22 morbidity and mortality.

1 Next.

2 (Slide.)

3 The second patient at our facility was known
4 to me to have a family history of severe combined
5 immunodeficiency, but was blinded to our patient lab.
6 Because of this, cord blood was drawn in the delivery
7 room, and that data is shown here. A filter card was
8 obtained shortly after the newborn period and sent to
9 the state lab.

10 His initial blood count showed profound
11 lymphopenia with almost completely absent T, B, and NK
12 cell numbers. The dry blood spot TREC value was zero on
13 this patient. We were concerned, based on the family
14 history, that this was an ADA form of SCID. From the
15 cord blood, biochemical testing was sent to Dr. Michael
16 Hirschfield and we had confirmation of this within 48
17 hours, that this was indeed an ADA form of SCID.

18 This patient was sent home in protected
19 isolation, was started on enzyme replacement, which is
20 available for this form of SCID. He received PEG-ADA.
21 He also received antimicrobial prophylaxis and
22 intravenous gamma globulin. We were able to continue

1 breastfeeding in this case because we were able to test
2 mom for CMD while she was pregnant.

3 Next.

4 (Slide.)

5 When we were monitoring for the therapeutic
6 benefits of the enzyme replacement therapy, we were able
7 to follow toxic metabolites via Dr. Hirschfield's lab at
8 Duke. These are data serially looking at that, showing
9 that the enzyme was working and that it was decreasing
10 the toxic metabolites that caused the profound
11 lymphopenia in this case.

12 Next.

13 (Slide.)

14 We also did serial Flow cytometry and showed
15 that, while enzyme replacement in this infant did have
16 improvement in his lymphocyte numbers -- if you look at
17 day 60, his T-cell number has now increased to 451 --
18 I'll also state that he is still profoundly immune-
19 compromised.

20 Next.

21 (Slide.)

22 In summary, the second SCID patient identified

1 managed through our hospital continues to grow and
2 thrive. He remains infection free. The plan in this
3 case is for him to proceed to gene therapy at the NIH.
4 That will occur once he reaches ten kilograms, which is
5 the entry point for their protocol. We're hopeful that
6 that will occur over the next two to three months.

7 Next.

8 (Slide.)

9 I think, comparing and contrasting these two
10 cases, they do span the spectrum in that SCID patient
11 number one has a molecular, undefinable at this point in
12 time, form of SCID. It's a rare form of SCID that's
13 seen in this country and represents approximately 5
14 percent of SCID cases, and that is T-minus, B-minus, NK-
15 plus. This patient initially was evaluated and worked
16 up in the hospital.

17 In contrast, SCID case number two, there is a
18 rapid metabolic test to screen for the genetic defect
19 and that's for ADA deficiency, which allowed rapid
20 identification. He was sent home in isolation, was
21 given enzyme replacement, which decreased the toxic
22 metabolites and also improved immune function.

1 Hematopoietic stem cell transplantation in ADA
2 SCID is quite -- has very good outcomes when there's a
3 matched sibling, but when there is not a matched sibling
4 the data is less clear. For this form of SCID, there
5 are open protocols in this country as well as abroad for
6 gene therapy, which this patient will undergo in the
7 near future.

8 In summary, I think these cases exemplify the
9 success of the screening program and they also highlight
10 some of the present challenges. One is the duration to
11 follow a genetically undefinable form of SCID to ensure
12 the phenotype and move to curative approaches, which is
13 important to do as quickly as possible. In our
14 experience, the TREC value of zero seems to be a very
15 robust indicator that this is indeed classical form of
16 SCID.

17 The other challenge is to develop a rapid
18 radiosensitivity test, because that does impact the
19 approach to cure.

20 Then lastly, the challenges on the
21 decisionmaking regarding donor selection for a curative
22 approach and the approach to that cure, which is an

1 still ongoing investigation.

2 Thank you for your attention.

3 MR. OJODU: Thank you, Chris.

4 Can you please load Marcia's slides. Thank
5 you.

6 Ms. Boyle, you're up.

7 (Slide.)

8 PARENT ADVOCACY/EDUCATIONAL MATERIAL DEVELOPMENT

9 MS. MARCIA BOYLE: Well, thank you very much.

10 Jelili, thank you very much for asking me to
11 participate, and I particularly want to thank the
12 Advisory Committee for last year making the
13 recommendation to add SCID and key lymphocyte
14 deficiencies to the panel for newborn screening.
15 Obviously, we're delighted with the Secretary's
16 agreement with your wise decision.

17 We have been -- well, let's go to the next
18 slide. Sorry.

19 (Slide.)

20 I do apologize for not being there in person
21 and I would like to have been.

22 The mission statement. As you know, we are

1 the national patient organization for the primary immune
2 deficiency diseases.

3 Next slide.

4 (Slide.)

5 Just a little bit. We are small, but we
6 certainly are a very busy organization, as you can see.

7 Newborn screening for SCID is one of our very important
8 initiatives and something that we're in for the long
9 haul here. So it's exciting to just be able to give you
10 a little update on what we're doing for advocacy and the
11 partnership that we feel we have with the whole
12 community on making this take place.

13 The next slide.

14 (Slide.)

15 I was very pleased to be able to present two
16 years ago from a survey that we had conducted among our
17 SCID families. Just as an update to you -- and I think
18 you have, the members of the committee have, a handout -
19 - the results from this were published in an article in
20 Clinical Immunology. I think you have a copy of that.
21 Some of the major findings from the survey are outlined
22 on the slide, but the bottom line is we're certainly

1 using some of the findings from this survey of our
2 families in our advocacy, and it's been extremely
3 helpful to us to have this data.

4 The next slide.

5 (Slide.)

6 Obviously, once the Secretary agreed to
7 include SCID in newborn screening panels we really
8 launched our campaign. It's been taking up a great deal
9 of our efforts. Current status of implementation; you
10 have been updated on this, obviously. The second
11 bullet, the states where the newborn screening advisory
12 committee voted in various states to recommend the
13 addition of SCID, but screening has not yet begun.
14 Obviously, Colorado, Minnesota, Delaware, North
15 Carolina, Iowa, Michigan, and Rhode Island. We're very
16 excited about the progress we've been making in some of
17 these states.

18 As far as our activities, last summer,
19 frankly, we brought in an intern that helped us call and
20 survey all of the state health departments regarding the
21 process for adding the condition to their screening
22 panel. So with that information, we would have a better

1 idea of how to approach a state. We've had
2 conversations with a number of state health departments,
3 provided resources, cost analysis figures. We've given
4 recommendations in some states for expert immunologists
5 that they needed in order to move ahead, and we've been
6 pleased to do that.

7 Where we can, we form alliances in states to
8 help along the newborn screening. Very importantly,
9 we've worked very closely with our volunteers. Many of
10 them are SCID families, but others are just volunteers
11 with a primary immune deficiency who feel very strongly
12 about this issue.

13 Next slide.

14 (Slide.)

15 We've been active in about 30 states. As we
16 mentioned, about five advisory committees have voted to
17 recommend SCID based on our volunteers. We're currently
18 involved in the following states. I'd like to
19 underscore the state of Florida, where tomorrow the
20 advisory committee is meeting. Actually we're bringing
21 -- we have a very active volunteer there -- bringing
22 busloads of people, have an immunologist who's

1 testifying, and they've actually had to change their
2 venue with the number of people coming. So we have
3 great hopes for Florida being added to the list of
4 states, of advisory committees that are recommending
5 SCID. So stay tuned for that.

6 As far as our educational activities, you can
7 see from the next slide our idea, "SCID: Take Action."

8 We actually developed our own logo for this. If you
9 have not seen our SCID newborn screening campaign web
10 page that's listed at the bottom of the slide, I urge
11 you to do so. We have developed a toolkit for educating
12 policymakers that I believe the committee members have a
13 copy of. I hope you do. I know we sent it in. This
14 has been important for our volunteers, background
15 information, how to go about the advocacy.

16 Our newborn screening blog, again take a look
17 at it. It's a very active blog that we keep up to date
18 from our office, for all the activities that are going
19 on around the country, where our volunteers are
20 testifying and making a difference.

21 We created and distributed a brochure on live
22 rotovirus vaccine to warn providers about the dangers of

1 administering the vaccine to infants with SCID. That
2 really came about because of our testimony in Florida
3 and the concern they had, and that has been distributed
4 to all pediatricians in Florida and is posted in some
5 other states, and we are in the process of getting this
6 brochure out to others, all 50 states.

7 We were very honored to present at the CDC
8 meeting in Atlanta to all 50 state lab programs last
9 October and have had many good conversations, follow-up
10 conversations, since. We produced two videos of SCID
11 parents, because we can't always bring the parents and
12 the stories to every meeting, just emphasizing from the
13 human perspective the importance of early detection, and
14 again it was shared by Heather Smith, who developed it,
15 at the CDC meeting.

16 Next slide.

17 (Slide.)

18 It just shows copies of our newborn screening
19 toolkit, the rotovirus brochure that I think you all
20 have a copy of as well, and a snapshot from our newborn
21 screening blog.

22 Again, the next slide.

1 (Slide.)

2 The videos are on YouTube, on Facebook,
3 they're on our site, and we're using them extensively.
4 If you haven't seen them, I think you would be very
5 interested to see these stories. I think you have met
6 Barb Ballard before. She has testified and Heather I
7 think has testified by phone, and very compelling
8 stories.

9 Next slide.

10 (Slide.)

11 The challenges to implementation. Obviously,
12 funding is the major barrier. The cost estimates that
13 you see, \$500,000 to a million to the state. Those are
14 statistics from Wisconsin and Massachusetts. In some
15 cases, we've been told that you've got to wait your
16 turn, there's a prior commitment to other disease
17 groups. Obviously, the need to set up a protocol for
18 follow-up within the state for a positive screen. In
19 some cases, we've heard the current lack of an FDA-
20 approved assay for the screening may be a challenge.

21 Some of our recommendations -- next slide --

22 (Slide.)

1 -- is states must develop networks of
2 specialists, obviously, in primary immune deficiency for
3 diagnosis and treatment. The states must develop
4 strategies to ensure patients access to specialists,
5 including sending patients out of state to medical
6 centers with expertise in bone marrow transplantation
7 for SCID, when such resources are not available in the
8 state. We have heard some instances of state Medicaid
9 programs reluctant to approve a state going out of
10 state, so that is a concern that we have and that has to
11 be dealt with.

12 Next slide.

13 (Slide.)

14 As far as educational needs in a state, states
15 need to develop systems to educate and communicate the
16 next steps to physicians and families. We understand
17 pieces are being developed for pediatricians following
18 identification of a positive test result. It's
19 extremely important to have the communication.
20 Educational piece for parents who receive a positive
21 screen; what does it mean, what to do next on receiving
22 a diagnosis. That's something we are working on

1 developing ourselves, and we'd love to partner on,
2 obviously, with others, as well as an educational piece
3 for parents who do receive a definitive diagnosis, so
4 kind of two different educational initiatives.

5 The next slide --

6 (Slide.)

7 -- does show we already have a great deal of
8 information from our patient and family handbook that's
9 on our web site and also available to anyone who asks on
10 SCID and the treatment of SCID. This is something that
11 we are in the process of updating our online version to
12 include more information on other severe T-lymphocyte
13 disorders. This also has information on DiGeorge
14 syndrome.

15 So again, developing educational -- next slide

16 --

17 (Slide.)

18 -- educational materials for families who
19 receive a positive screening result. As I indicated, we
20 are working with a specialist to develop kind of a
21 brochure that we hope the states can either use or adapt
22 to educate parents who have received a positive screen

1 and that will explain what SCID and other T-lymphocyte
2 deficiencies are and appropriate treatment, relieve
3 concerns by explaining what to do next, and give links
4 to resources, additional resources on SCID and other T-
5 lymphocyte deficiencies.

6 I want to thank you very much for having this
7 opportunity to update you on some of the initiatives
8 that we have undertaken in the last year, and we look
9 forward to doing everything we can. Thank you very
10 much.

11 MR. OJODU: Thank you, Ms. Boyle.

12 Mike.

13 **NBSTRN UPDATE**

14 DR. WATSON: Hi, Gerry.

15 DR. VOCKLEY: Hi.

16 DR. WATSON: Poor Gerry, drove all night to
17 get here from Pittsburgh.

18 DR. VOCKLEY: I didn't drive, unfortunately.
19 I sat all night to get here.

20 (Slide.)

21 DR. WATSON: The NBSTRN update is really as
22 much to update you on what the NBSTRN is doing both in

1 SCID and a number of other conditions. The fundamental
2 goal, obviously, of the NIH is research investigation
3 and sort of the science side of screening and the
4 conditions that we screen for.

5 I think I want to acknowledge one person, Amy
6 Brower, who's in the audience. Amy has really been the
7 one who has led this particular workgroup doing all the
8 SCID work for the NBSTRN and has put in a tremendous
9 amount of effort and time. So I want to thank her for
10 all the work she's done. It's difficult pulling a large
11 number of states and different research groups together
12 in studies like this, and she's done a very nice job.

13 One of the fundamental interests in the NBSTRN
14 has been initially to build an infrastructure that
15 supports highly collaborative research. We want it to
16 be highly protocol-driven because that's the only way we
17 can actually get multiple investigator groups and states
18 to bring compatible data to the table so that we can
19 begin to learn more about these very rare conditions.

20 So the first years of the NBSTRN have been
21 focused on building that infrastructure and the
22 resources to support the ability of investigators to do

1 this kind of work.

2 (Slide.)

3 The NICHD had issued a subcontract to New York
4 State back in early summer, so we're actually not very
5 far into our studies, and much of the data has already
6 been shown to you by Carla Cuthbert from California,
7 which has -- obviously, a state that size generates a
8 serious amount of data in a fairly short time. They
9 began their screening really in about August or
10 September and are 200,000 babies in now. 19 have been
11 sent to Flow. Four babies died before they got
12 subsequent testing done. Given the timing of all these,
13 we have very little data available now about these
14 patients, but we'll be bringing that into our database
15 and we can present that to you at a later time.

16 The four laboratories that are funded by this
17 New York State subcontract are: obviously, New York
18 itself, which will do about 80,000 babies under the
19 contract; California that's doing a little less than
20 half a year's worth of screening in 200,000 babies.
21 Louisiana will be doing screening through the Wisconsin
22 laboratory that had already established expertise in

1 screening for SCID. And Puerto Rico will be doing their
2 screening through the Massachusetts laboratory, which
3 similarly had been funded and had developed the
4 expertise in screening. You can see the numbers of
5 babies roughly that they expect to be screening.

6 (Slide.)

7 The NBSTRN coordinating center itself, which
8 we operate, supports a number of activities related to
9 the New York subcontract. The New York subcontract
10 largely funds the per-baby screening, and that's
11 something we really wanted to maximize, and we did that
12 by supplementing their funding with the NBSTRN's core
13 funding that allowed them to bring the experts together
14 for meetings. We supported a number of resource
15 development projects, which I'll show you. Some of them
16 are existing projects you've heard about previously,
17 that we've adapted to some of the needs of working in a
18 pilot environment as opposed to sort of a retrospective
19 look at performance of newborn screening laboratories as
20 a means of improving them, and are adapting our tools to
21 really be used prospectively in pilot studies that allow
22 many states to bring their data together, learn from

1 each other, generate much larger data sets, much more
2 rapidly, and hopefully identify the difficulties and the
3 laboratory complexities much more rapidly by having
4 collaborated and pooled their data together.

5 (Slide.)

6 The main activities that we've been involved
7 in is, first, that infrastructure to support this
8 collaborative approach to pilot studies; the resources
9 and the infrastructure that we're developing around
10 clinical data sets that describe the protocols by which
11 diagnosis is established, by which treatment is done,
12 and by which monitoring of those patients over the long
13 term is done, and that really allows us to get a
14 longitudinal health record look at a patient, not just
15 at a point of time of screening, but longitudinally
16 through the course of their treatment and management.

17 There is currently an RFP out, or actually
18 it's at the very end stage of finding who's going to get
19 the grants. But the NICHD has an interest in developing
20 the clinical histories of these conditions. SCID
21 screening is a lot like hearing screening in that there
22 are a whole bunch of potential conditions that can be

1 diagnosed and they're exceedingly rare. Unless we pool
2 our resources and data together, hopefully in an
3 organized, protocol-driven way that allows for some
4 compatibility of that data, we'll have a much better
5 sense of really what all these rare causes of both no-
6 SCID -- I'm sorry -- no-TRECs and no-TRECs types of
7 findings are all about.

8 We're in the stage now of putting the final
9 disease-specific parts of the protocols together for
10 SCID. Because we're building an infrastructure and
11 resources, we focus a lot on taking those diagnosis,
12 follow-up treatment languages and bringing them into the
13 national electronic health system language
14 standardization process, which then drives all
15 manufacturers of EMRs and other things to accept those
16 as the language standards, and they become integrated
17 into the electronic health system of the United States,
18 which then gives them a secondary set of legs of really
19 having compatible data that we can draw from many places
20 over time.

21 (Slide.)

22 The IT and the informatics have been very

1 important in the NBSTRN's development in order to
2 support the point of care data collection. It's clear
3 to us that providers don't want to do things more than
4 once. If they have to capture the information about
5 their visit with the patient, they'd like to capture it
6 at the point of care. They'd like to be able to share
7 it with their electronic medical record in their
8 institution if they have one or whatever medical record
9 system is available. They would like to be able to
10 share it with registries that might be part of a phase 4
11 surveillance process if there's an FDA-approved drug in
12 place for a very rare disease.

13 So there's a number of directions that these
14 kind of data go that are tools are going to be able to
15 push to the registries, push to the institutional
16 databases, in the hopes that it keeps the impact on the
17 physician at the point of care at the minimum.

18 We're in the process of developing ACT sheets
19 that guide the primary care providers. In many states,
20 as screening evolves they'll be the first ones that may
21 find out about a screen positive baby and they'll have
22 to move them into a system in which the experts in T-

1 cell lymphopenia, these types of disorders, are able to
2 see them and move them through diagnosis and treatment.

3 We also want to be able to develop broad
4 directories of the clinical specialists in these
5 conditions, partially because there's a number of
6 states, obviously, already screening. There's a larger
7 number of states that are about to begin screening.
8 Just in our calls alone, there have been an additional
9 four states who have begun to participate because
10 they're in fairly far-along planning to get into SCID.
11 That's Nebraska, Delaware, Colorado, and Minnesota, and
12 their data will be able to come into these databases as
13 well.

14 (Slide.)

15 The SCID expert group is developed on the
16 model that we expect to be that for all the kinds of
17 studies that are involved in the NBSTRN. We are the
18 coordinating center and we support the investigators,
19 who have expertise in these disorders. So Michele
20 Caggana, who is now the chair of this group -- it
21 actually started as a subcontract in which Ken Pass was
22 the PI. Ken retired in late September and we went

1 through a period of transferring the responsibility for
2 the subcontract to Michele Caggana.

3 The members, you can see, of that expert group
4 are listed there. People from immunology, pediatrics,
5 biochemical genetics, immunology, newborn screening are
6 all involved in this expert group. It's the one
7 developing sort of the measures by which we'll collect
8 the newborn screening data itself, as well as the
9 diagnosis, treatment, and follow-up data sets that are
10 going to be part of the long-term studies.

11 (Slide.)

12 One of the first tools we adapted was the R4S
13 database that Dr. Piero Rinaldo has presented to you
14 previously. Something like almost all states and
15 another 40 countries are bringing their newborn
16 screening data into this particular database. It has
17 been of tremendous value to a large number of states as
18 a quality improvement tool, and it seemed apparent that
19 we could readily adapt that tool to prospective use in
20 pilots. It's basically the same kind of data. It's
21 just at the earliest stages of the development of the
22 screening as opposed to after it's well established.

1 Those databases are being curated by Doctors
2 Fred Lorey and Roshini Abraham, both of whom have, one
3 in newborn screening and one in immunology, expertise in
4 the T-cell lymphopenias, with Dr. Rinaldo's group taking
5 an administrative role and guidance from these experts
6 involved in this particular project as to the data sets
7 that are going to be appropriate to develop for that
8 particular tool.

9 The first data is just coming in, actually. I
10 took this picture prior to the data coming in.
11 California, as you heard, is well along and their data
12 is coming in now. But you've basically seen this
13 framework before. It shows you some of the analytical
14 parameters, some of the display tools that are going to
15 be available for those participating in SCID screening.

16 (Slide.)

17 On the diagnosis and follow-up data set side,
18 we're still in the development stage. We're building
19 off a much larger project that involves all conditions
20 in newborn screening that Dr. Sue Berry has led, along
21 with others from the NBSTRN coordinating center.
22 They've gone through a long iterative process of

1 reaching consensus on some of the core aspects of data
2 collected at the point of care. These are the data
3 points that are all shared across all conditions in
4 which we have an interest in developing protocols.

5 So there are a large number of data points
6 within each of these general categories, from
7 demographics, socioeconomic status, family history,
8 prenatal history, neonatal history, birth measurements,
9 the newborn screening data itself, the diagnostic testing
10 data, past health history, emergency management of
11 patients, developmental screening, and imaging studies.

12 That's about 80 percent or so of the data points
13 acquired at the point of care.

14 To that, we then supplement the data, the
15 disease-specific data points, in a highly protocol-
16 driven way in the hope that the data is much more
17 compatible, because that's really one of the things that
18 underpins the success of the national cancer cooperative
19 study groups, was expert-developed, protocol-driven
20 kinds of activities on the clinical side that allowed
21 for data to be pooled and actually have both longevity
22 and the ability to have larger data sets, critically

1 important in these very rare conditions.

2 To develop the disease-specific data sets,
3 we're largely working with the primary immune deficiency
4 treatment consortium. It's a group that's been funded
5 under the Office of Rare Diseases, Rare Disease Clinical
6 Consortia. It's a model we're also using for the
7 lysosomal storage diseases, which allows us to identify
8 people who are already funded to work in these areas and
9 allow them to work within the tools we're developing to
10 get both the expertise and the sharing of the tools that
11 allow for them to collaborate together, and at this
12 point not necessarily have to use their own grant money,
13 but generate the data that will allow them to be
14 competitive, to bring these kinds of studies in under
15 their consortia over time.

16 (Slide.)

17 On that, I'll say thank you. We're still in
18 that -- we're only four or five months in, so I can't
19 give you patient-specific data, but we can do that at a
20 later meeting if you so desire.

21 MR. OJODU: All right. Thank you to all of
22 the speakers. Actually many thanks to all of the

1 speakers for taking their time to present here. I'd
2 like to thank also IDF. We actually invited the Jeffrey
3 Modell Foundation to be part of this panel and, due to
4 unavoidable circumstances, they were not able to attend,
5 but they did provide quite a few information that I will
6 be talking about later on. As you know, they have been
7 actively engaged on many levels, whether it's federal,
8 state, or local levels, in moving newborn screening
9 forward in states.

10 I'd also like to thank Lisa Vasquez from HRSA
11 for all her help.

12 Just a quick update in reference to meetings.

13 I think it was October -- this was referenced a little
14 while ago, but we, APHL, CDC, HRSA, and the National
15 Newborn Screening and Genetics Resource Center hosted a
16 meeting, a national meeting on newborn screening for
17 SCID, implementation, challenges, and updates.

18 For the committee members around the table,
19 you should have a link in, I don't know if it's a binder
20 or if it's on your thumb drive, that will take you
21 directly to the web site. This was well attended, well
22 received, and it was actually an excellent meeting.

1 Close to 200 participants from around the country and
2 from three -- I mean, from around the United States and
3 three countries, laboratorians, follow-up coordinators,
4 and immunologists.

5 You will be able to find the archive videos
6 that will play simultaneously with the slides that were
7 presented for all of these presentations on our web
8 site. So I would encourage anyone who wasn't in
9 attendance at that meeting to please see these
10 presentations.

11 Another quick update here is, I think we have
12 an onus to actually increase the work force as it
13 relates to newborn screening and in particular SCID, in
14 memory of a pioneer, Dr. Ron Laessig. The Jeffrey
15 Modell Foundation, the Centers for Disease Control and
16 Prevention, and APHL currently support a fellowship in
17 Ron's memory. Dr. Held actually just started at the
18 University of Wisconsin -- I mean, the Wisconsin State
19 Public Health Lab -- on January 3rd. I think this is
20 excellent. This was at the suggestion of Dr. Hannon and
21 Dr. Vogt from the CDC. This is a two-year postdoc
22 fellowship and we hope to be able to continue these

1 kinds of fellowships that will be moved around,
2 available from state to state, in the near future with
3 the funds available.

4 I think, in summary here, you've heard that
5 four states do screen for those -- I mean, six states do
6 screening for SCID right now: New York, Wisconsin,
7 Massachusetts, California, Louisiana via Wisconsin, and
8 Puerto Rico via Massachusetts.

9 (Slide.)

10 This is just a map representation of those
11 states. Right now you also heard from several of the
12 presentations on activities, whether it's state public
13 health labs or public health departments that -- states
14 where the advisory committees are actively engaged in
15 adding and recommending SCID to their newborn screening
16 panels. I've added those states to the list here. It
17 depends on how you count them or how you read what's
18 going on, but they can add up to between 9 and 12 right
19 now. SCID is pending in these states.

20 Then I think a lot of people are still
21 considering to add SCID to their panels. I think
22 they're thinking about the economic climate, among other

1 things, and the current state of things. I was telling
2 Michele this the other day, that we have 24 new
3 governors, and those 24 new governors will mean that
4 we'll have 24 new health officials, and there will be a
5 repercussion that will drop down to state public health
6 labs and newborn screening programs across the country.

7 How this will affect implementation of SCID is
8 yet to be seen. But this is not only for SCID. It's
9 for all these conditions that the advisory panel -- that
10 the Secretary's Advisory Committee adds to the core
11 panel of conditions. So as you add those panels, please
12 consider the financial implications on the states that
13 are going to be doing this.

14 That's it for me. Thank you.

15 CHAIRPERSON HOWELL: Thank you very much,
16 Jelili.

17 Unfortunately, we are running a little over
18 time. But one thing I would like to do. The very large
19 amount of money that's been put into the pilot studies
20 from the NIH has been to a subcontract to Michele
21 Caggana, and I wonder, Michele, do you have anything to
22 add? Your work has been presented repeatedly. Do you

1 have anything to say about your work? Were you properly
2 represented?

3 Come to the microphone.

4 DR. CAGGANA: Just that it's been a quick
5 learning curve and that it's been really great working
6 with the states, with Fred, Ann, and May, and then, as
7 Mike mentioned, getting together with some of the other
8 states that are in different stages of considering or
9 having approval to add SCIDs.

10 From a laboratory point of view, it's been
11 really helpful. I've learned a lot from Ann and May.
12 Fred and I kind of came in as the newbies and so it was
13 really a good experience for us. The ability for the
14 funded states to be able to put data into the database
15 for the specimens that they are testing as part of this
16 I think will help us understand SCID and the different
17 types of conditions.

18 You heard that there are a couple of different
19 new SCIDs that are being picked up just by screening, so
20 we're learning a lot as we go.

21 Lastly, it's been wonderful also to have help
22 from the NBSTRN, from Amy, who I email pretty much every

1 other day or so, and Arena, and also Dr. Buckley, Dr.
2 Puck, and Dr. Abraham from the Mayo Clinic. So the
3 clinical input has been great and the collaboration
4 between the states has been great, too.

5 So thank you.

6 CHAIRPERSON HOWELL: Well, I know your project
7 officer at NIH, who's Dr. Erd, has found it a pleasure
8 to work with you. It's been exciting to see how rapidly
9 your group has been able to bring hundreds of thousands
10 of newly screened babies to the table.

11 Finally, the other person whose work we've
12 heard a great deal about, but nothing from her, is Amy
13 Brower. Amy, do you have anything to say?

14 DR. BROWER: Oh, it was represented better
15 than I could have done it myself. Thank you, Mike.

16 It's been a great experience, I think, for
17 really trying to learn from the SCID recommendation and
18 the implementation how we can get ready for the LSDs and
19 for the other conditions that are currently being
20 nominated. So we're sort of using it as a platform to
21 showcase the NICHD-supported NBSTRN, as well as continue
22 our work on the long-term follow-up data set, which is a

1 great joint effort between HRSA and NICHD. So we're
2 learning a lot, as Michele said. She doesn't mind when
3 I email her every day. She just answers me every other.

4 CHAIRPERSON HOWELL: Finally, I wonder if Tina
5 Erd has anything to say. She's the person at the NIH
6 that works on getting the money to flow and trying to
7 keep it all legal.

8 DR. ERD: We're not a shy bunch. We're not
9 afraid to say things. I guess the one thing I'd like to
10 add is that the way we've set up the contract is, even
11 though the money for the blood spots will be -- there
12 will be an end to that amount of money, we're setting up
13 a system that the new states that are being added can
14 continue to learn from the states that had experience.
15 It's kind of like a support group. They're listening in
16 while the other groups are setting up.

17 There's a place to put in the data. I
18 think they haven't talked about the system nearly
19 enough. It would have been nice to hear a little bit
20 more about that, because that's very exciting, how
21 they're coming to consensus on decisions, so as the new
22 states come in there's consensus information; it will go

1 much more smoothly and more easily as people come on
2 board.

3 But I think everyone's done a very nice job
4 working collaboratively. It's been a good experience.

5 CHAIRPERSON HOWELL: Let me make one comment.

6 That is that this Advisory Committee, as you know,
7 looked at all the evidence and made a formal
8 recommendation that this condition should be added to
9 the core panel, and at the same time we felt that before
10 everybody in the United States started it would be
11 important to look at a lot of babies. Fortunately, the
12 NIH came to the table with a big chunk of money to help
13 do that, and everybody in the room has worked on that.
14 I think that now once these pilot data are available and
15 we have hundreds of thousands of babies who've been
16 screened in state labs, the information will really be
17 available now that would enable states to adopt this
18 recommendation.

19 I think this is the way the adoption should
20 go. In other words, the adoption should go once it's
21 truly ready to go prime time and once everything is
22 ready to go. I'm excited about that.

1 Michele has a parting word, but we do need --
2 or maybe Jelili has a parting word. But we need to
3 quickly part for our next thing.

4 DR. LLOYD-PURYEAR: One important part of the
5 Secretary's acceptance of this recommendation was a
6 report that's required from the committee in May of this
7 year on the current state of the states of
8 implementation. So I would like to know what entity,
9 either CDC or NIH or a collaborative effort, is going to
10 take responsibility for writing that report.

11 CHAIRPERSON HOWELL: I'm sure there will be
12 many volunteers. We don't need to decide that right
13 now, do we?

14 DR. LLOYD-PURYEAR: We do need to decide that
15 now. I need to decide that now, I do. Tina, can you
16 lead that effort?

17 DR. ERD: I would like to just make it a
18 collaborative effort between CDC and NIH. I'm sure, if
19 Carla is agreeable, we can all work together on this.

20 CHAIRPERSON HOWELL: I think a great team
21 would be Carla and Tina.

22 DR. CUTHBERT: Yes, we'll join in.

1 DR. LLOYD-PURYEAR: So we would have a first
2 draft of that by April 2011, April 1st?

3 CHAIRPERSON HOWELL: Those two worker bees
4 will have no problem doing that.

5 Jelili, one quick comment.

6 MR. OJODU: It's just another thank-you.
7 Thank you to the pioneers from the states, even though
8 they weren't here to give the presentation. You know
9 them. You hear their names all the time: Ann Comeau,
10 May Baker, Fred Lowery, and the rest of the folks that
11 have been doing a great job of making sure that we
12 educate others on SCID testing. And the funding
13 agencies, of course: CDC, NIH, and HRSA.

14 Thank you.

15 CHAIRPERSON HOWELL: As we depart, the Follow-
16 Up and Treatment group will be meeting here in this
17 room. So the folks who are not a member of that group
18 should get out of here quickly.

19 The Laboratory Standards and Procedures group
20 will be meeting in City Center 1, which is off to the
21 left; and the Education and Training will be in City
22 Center 2, which is right next door to the left. The

1 Health Information Technology Workgroup will meet
2 between 5:15 and 5:45 in City Center 2.

3 Otherwise, we'll see you cats in the morning.

4 (Whereupon, at 2:29 p.m., the meeting was
5 adjourned.)

6

7

8

9

10

11

12

13

14

15

16

17

18

19

20

21

22

23