

1 The Advisory Committee on
2 Heritable Disorders in Newborns and Children

3

4

5

6

7 Virtual Meeting

8

9

10

11 10:00 a.m.

11

12 Thursday, May 12, 2022

12

13

14 Attended Via Webinar

14

15

16

17

18

19

20

21

22 Page 1 - 260

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

COMMITTEE MEMBERS :

Kyle Brothers, MD, PhD

Endowed Chair of Pediatric Clinical and
Translational Research
Associate Professor of Pediatrics
University of Louisville School of Medicine

Jane M. DeLuca, PhD, RN

Associate Professor
Clemson University School of Nursing
Metabolic Nurse Practitioner
The Greenwood Genetic Center

Jennifer M. Kwon, MD, MPH, FAAN

Director, Pediatric Neuromuscular Program
American Family Children's Hospital
Professor of Child Neurology, University of
Wisconsin School of Medicine & Public Health

Shawn E. McCandless, MD

Professor, Department of Pediatrics
Head, Section of Genetics and Metabolism

1 University of Colorado Anschutz Medical Campus
2 Children's Hospital Colorado

3

4 **Chanika Phornphutkul, MD, FACMG**

5 Professor of Pediatrics and Pathology and
6 Laboratory Medicine and Genetics

7 Director, Division of Human Genetics

8 Department of Pediatrics

9 Brown University

10 Hasbro Children's Hospital/ Rhode Island Hospital

11

12 **Cynthia M. Powell, MD, FACMG, FAAP**

13 (Chairperson)

14 Professor of Pediatrics and Genetics

15 Director, Medical Genetics Residency Program

16 Pediatric Genetics and Metabolism

17 The University of North Carolina at Chapel Hill

18

19 **Scott M. Shone, PhD, HCLD (ABB)**

20 Director

21 North Carolina State Laboratory of Public Health

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

EX-OFFICIO MEMBERS:

Agency for Healthcare Research & Quality

Kamila B. Mistry, PhD, MPH

Senior Advisor

Child Health and Quality Improvement

Centers for Disease Control & Prevention

Carla Cuthbert, PhD

Chief, Newborn Screening and Molecular Biology

Branch

Division of Laboratory Sciences

National Center for Environmental Health

Food and Drug Administration

Kellie B. Kelm, PhD

Director

Division of Chemistry and Toxicology Devices

Office of In Vitro Diagnostics and Radiological

Health

Health Resources & Services Administration

1 Michael Warren, MD, MPH, FAAP
2 Associate Administrator
3 Maternal and Child Health Bureau

4

5 **National Institutes of Health**

6 Diana W. Bianchi, MD
7 Director
8 Eunice Kennedy Shriver National Institute of Child
9 Health and Human Development
10 31 Center Drive, Room 2A03
11 Bethesda, Maryland 20892

12

13 **Acting Designated Federal Official**

14 Soohyun Kim, MPH, CPH
15 Genetic Services Branch
16 Maternal and Child Health Bureau
17 Health Resources and Services Administration

18

19 **ORGANIZATIONAL REPRESENTATIVES:**

20

21 **American Academy of Family Physicians**

22 Robert Ostrander, MD

1 Valley View Family Practice

2

3 **American Academy of Pediatrics**

4 Debra Freedenberg, MD, PhD

5 Medical Director, Newborn Screening and Genetics,

6 Community Health Improvement

7 Texas Department of State Health Services

8

9 **American College of Medical Genetics & Genomics**

10 Maximilian Muenke, MD, FACMG

11 Chief Executive Officer

12

13 **American College of Obstetricians & Gynecologists**

14 Steven J. Ralston, MD, MPH

15 Chair, OB/GYN

16 Pennsylvania Hospital

17

18 **Association of Public Health Laboratories**

19 Susan M. Tanksley, PhD

20 Manager, Laboratory Operations Unit Texas

21 Department of State Health Services

22

1 **Association of Women's Health, Obstetric &**
2 **Neonatal Nurses**

3 Shakira Henderson, PhD, DNP, MS, MPH, RNC-NIC,
4 IBCLC

5 Vice President, Research Officer University of
6 North Carolina Health

7 Board Director, Association of Women's Health,
8 Obstetric & Neonatal Nurses

9

10 **Child Neurology Society**

11 Margie Ream, MD, PhD

12 Associate Professor

13 Director, Leukodystrophy Care Clinic

14 Director, Child Neurology Residency Program

15 Nationwide Children's Hospital Division of

16 Neurology, The Ohio State University

17

18 **Department of Defense**

19 Jacob Hogue, MD

20 Lieutenant Colonel, Medical Corps, US Army

21 Chief, Genetics, Madigan Army Medical Center

22

1 **Genetic Alliance**

2 Natasha F. Bonhomme

3 Vice President of Strategic Development

4

5 **March of Dimes**

6 Siobhan Dolan, MD, MPH

7 Professor and Vice Chair for Research

8 Department of Obstetrics & Gynecology and Women's

9 Health, Albert Einstein College of Medicine and

10 Montefiore Medical Center

11

12 **National Society of Genetic Counselors**

13 Cate Walsh Vockley, MS, LCGC

14 Senior Genetic Counselor

15 Division of Medical Genetics

16 UPMC Children's Hospital of Pittsburgh

17

18 **Society for Inherited Metabolic Disorders**

19 Gerard T. Berry, M.D.

20 Harvey Levy Chair in Metabolism

21 Director, Metabolism Program, Division of Genetics

22 and Genomics, Boston Children's Hospital

- 1 Director, Harvard Medical School Biochemical
- 2 Genetics Training Program, Professor of Pediatrics
- 3 Harvard Medical School, Center for Life Science

C O N T E N T S

1

2 COMMITTEE MEMBERS: 2

3 EX-OFFICIO MEMBERS: 4

4 ORGANIZATIONAL REPRESENTATIVES: 5

5 WELCOME, ROLL CALL, OPENING REMARKS, AND COMMITTEE BUSINESS

6 12

7 UPDATES ON HOMOCYSTINURIA NBS STATUS: PANEL PRESENTATION 39

8 PUBLIC COMMENTS 94

9 NEWBORN SCREENING FOR GUANIDINOACETATE DEFICIENCY (GAMT): A

10 SYSTEMATIC REVIEW OF THE EVIDENCE, PART 1 135

11 Break 161

12 NEWBORN SCREENING FOR GUANIDINOACETATE DEFICIENCY (GAMT): A

13 SYSTEMATIC REVIEW OF THE EVIDENCE, PART 2 164

14 COMMITTEE REPORT: NEWBORN SCREENING FOR 214

15 GAMT DEFICIENCY 214

1 COMMITTEE DISCUSSION 241
2

1 P R O C E E D I N G S

2 **WELCOME, ROLL CALL, OPENING REMARKS, AND**

3 **COMMITTEE BUSINESS**

4 CYNTHIA POWELL: Good morning,
5 everyone. I will now call to order the second
6 meeting and in 2022 of the Advisory Committee on
7 Heritable Disorders in Newborns and Children.
8 Welcome, I'm Dr. Cynthia Powell, Committee Chair.
9 We'll begin by taking roll.

10 For Committee members, Kyle
11 Brothers.

12 KYLE BROTHERS: Here.

13 CYNTHIA POWELL: Representing the
14 Centers for Disease Control and Prevention, Carla
15 Cuthbert.

16 CARLA CUTHBERT: I'm here.

17 CYNTHIA POWELL: Jane DeLuca.

18 JANE DELUCA: Here.

19 CYNTHIA POWELL: Representing the
20 Food and Drug Administration, Kelly Kelm.
21 Representing Health Resources and Services
22 Administration, Michael Warren.

1 MICHAEL WARREN: Here.

2 CYNTHIA POWELL: Shawn McCandless.

3 SHAWN MCCANDLESS: Present.

4 CYNTHIA POWELL: Jennifer Kwon.

5 JENNIFER KWON: Here.

6 CYNTHIA POWELL: Representing the
7 National Institutes of Health, Melissa Parisi.

8 MELISSA PARISI: Here.

9 CYNTHIA POWELL: Chanika
10 Phornphutkul.

11 CHANIKA PHORNPHTKUL: Here.

12 CYNTHIA POWELL: I'm here, Cynthia
13 Powell, and Scott Shone.

14 SCOTT SHONE: Here.

15 CYNTHIA POWELL: Next, our
16 organizational representatives. From the
17 American Academy of Family Physicians, Robert
18 Ostrander. I thought I saw him earlier. Maybe
19 we'll double check in a minute. From the
20 American Academy of Pediatrics, Debra
21 Freedenberg.

22 DEBRA FREEDENBERG: Here.

1 CYNTHIA POWELL: From the American
2 College of Medical Genetics and Genomics,
3 Maximilian Muenke.

4 MAXIMILIAN MUENKE: I'm here.

5 CYNTHIA POWELL: From the American
6 College of Obstetricians and Gynecologists,
7 Steven Ralston. From the Association of Women's
8 Health, Obstetric, and Neonatal Nurses, Katie
9 Swinyer.

10 KATIE SWINYER: I'm here.

11 CYNTHIA POWELL: From the Child
12 Neurology Society, Margie Ream.

13 MARGIE REAM: I'm here.

14 CYNTHIA POWELL: Department of
15 Defense, Jacob Hogue.

16 JACOB HOGUE: I'm here.

17 CYNTHIA POWELL: And today
18 representing Genetic Alliance, Marianna Raia.

19 MARIANNA RAI: I'm here.

20 CYNTHIA POWELL: From the March of
21 Dimes, Siobhan Dolan.

22 SIOBHAN DOLAN: Here.

1 CYNTHIA POWELL: From the National
2 Society of Genetic Counselors, Cate Walsh
3 Vockley.

4 CATE WALSH VOCKLEY: I'm here.

5 CYNTHIA POWELL: And from the
6 Society for Inherited Metabolic Disorders, Gerard
7 Berry.

8 GERARD BERRY: Present.

9 CYNTHIA POWELL: Thank you. I'll
10 now turn things over to Soohyun Kim, our acting
11 Designated Federal Official.

12 SOOHYUN KIM: Thank you, Dr Powell.
13 I will now go over a few standard reminders for
14 the Committee. As a Committee, we are advisory
15 to the Secretary of Health and Human Services,
16 not the Congress. For anyone associated with the
17 Committee or due to your membership on the
18 Committee, if you receive inquiries about the
19 ACHDNC, please let Dr. Powell and I know prior to
20 committing to the interview or presentation.

21 I must also remind Committee members
22 that you must recuse yourself from participation

1 in all particular matters likely to affect the
2 financial interests of any organization with
3 which you serve as an officer, director, trustee,
4 or general partner unless you're also an employee
5 of the organization or unless you have received a
6 waiver from HHS authorizing you to participate.
7 A-s in the case today, when a vote is scheduled
8 or any activity is proposed, and you have a
9 question about a potential conflict of interest,
10 please notify me immediately. Next slide please.

11 According to FACA, all Committee
12 meetings are open to the public. If the public
13 wish to participate in the discussion, the
14 procedures for doing so are published in the
15 Federal Register and/or are announced at the
16 opening of a meeting. For this meeting, there is
17 no public chat feature. In the Federal Register
18 Notice we said that there would be a public
19 comment period. Only with advanced approval of
20 the Chair or DFO may public participants question
21 Committee members or other presenters.

22 Public participants may submit

1 written statements. Also, public participants
2 should be advised that Committee members are
3 given copies of all written statements submitted
4 by the public.

5 As a reminder, and as stated in the
6 FRN, as well as the registration website, that
7 all written public comments are part of the
8 official meeting record and are shared with
9 Committee members. Any further public
10 participation will be solely at the discretion of
11 the Chair and the DFO.

12 If there are no further questions --
13 if there are no questions, I'll turn it back to
14 Dr Powell.

15 CYNTHIA POWELL: Thank you, Soohyun.
16 And before we start, I would like to say that our
17 representative from the Agency for Healthcare
18 Research and Quality, Kamila Mistry, is unable to
19 join us.

20 Before we begin today's agenda, I'd
21 like to take a moment to honor two monumental
22 leaders in the newborn screening community.

1 We're greatly saddened by the passing of Dr.
2 Harry Hannon and Dr. Kwaku Ohene-Frempong last
3 week. As many of you know, Dr. Hannon has made a
4 profound impact on the Public Health Newborn
5 Screening System during his forty-one years of
6 service at the CDC and beyond. He has created
7 the Newborn Screening Quality Assurance Program
8 at the CDC in 1978, which currently provides
9 services to over 670 newborn screening
10 laboratories across the US and in 88 countries.
11 Dr. Hannon authored more than 250 scientific
12 publications and served on over 30 national and
13 international Committees for laboratory issues.
14 He co-authored standards for the World Health
15 Organization for implementing newborn screening
16 for congenital hypothyroidism and phenylketonuria
17 in developing and developed countries.

18 Over his career, he has received
19 numerous awards and honors for his achievements,
20 including the CDC Shephard Awards, the Robert
21 Guthrie award, the Association of Public Health
22 Laboratories Lifetime Achievement Award, and the

1 Russell J. Isler's Award in 2008. In 2008, APHL
2 created the Harry Hannon Laboratory Improvement
3 Award in Newborn Screening, which commemorates
4 Harry's longstanding contributions by honoring a
5 person working worldwide, who has made
6 significant contributions to improving the
7 quality of laboratory results in the newborn
8 screening field.

9 And I'd like to turn things over to
10 Dr. Carla Cuthbert CDC, a longtime colleague of
11 Harry Hannon's.

12 CARLA CUTHBERT: Well, thank you,
13 Cindy. Harry Hannon, many of you would remember
14 him, and he was many things to many people and
15 I'd like to just even start by just saying, for
16 those who knew him well, for those who rubbed
17 shoulders with him, and who had any kind of
18 relationship with him, I am sorry for the loss
19 that you yourself feel.

20 I am the Chief of the Newborn
21 Screening and Molecular Biology Branch, and I can
22 definitely say that the branch today, the support

1 that we provide, the work that we do, the vision
2 that we are able to develop for the future to
3 support programs, that would not exist, were it
4 not for Harry's insight. Harry, as you know, was
5 a very strong advocate for newborn screening and
6 as a result of his leadership and vision at the
7 CDC, he created what we now -- I don't want to
8 say that we take it for granted -- but the
9 Newborn Screening Quality Assurance Program, it
10 has been long with us, and he did that while he
11 was then chief of the Newborn Screening Branch.

12 This program, as Cindy has
13 indicated, started off incredibly small and it's
14 grown to cover about 700 participating programs
15 in about 88 countries.

16 And at his funeral yesterday, if
17 you've had an opportunity to listen in, the
18 pastor said that Harry had done enough in his
19 life and then he was called home. And so, I
20 appreciate a moment to be able to honor Harry,
21 and I do recognize that he's left an amazing
22 legacy behind. And while we're very profoundly

1 sad that he's no longer with us, we know that we
2 are part of his legacy and that it is on his
3 shoulders that we continue to create new
4 programs, resources, and to support the newborn
5 screening community, both domestic and
6 international. So, thank you.

7 CYNTHIA POWELL: Thank you, Carla.
8 Dr. Kwaku Ohene-Frempong dedicated his life and
9 career to working with sickle cell disease and
10 patients with this condition. Born in Ghana, his
11 record of excellence as a student athlete earned
12 him a scholarship to Yale to study pre-med and he
13 received his medical degree from the Yale School
14 of Medicine. While finishing his degree, his son
15 became the first baby diagnosed with sickle cell
16 disease by Dr. Howard Pearson in the pioneering
17 Newborn Screening Program at Yale in 1972. His
18 first-hand experience with sickle cell and
19 newborn testing motivated him to dedicate his
20 life and career to studying and advocating for
21 sickle cell.

22 Dr. Ohene-Frempong was the leading

1 pediatric sickle cell physician, he was Director
2 Emeritus of the Comprehensive Sickle Cell Center
3 at the Children's Hospital of Philadelphia,
4 Emeritus Professor of Pediatrics at the Pearlman
5 Center of Medicine at the University of
6 Pennsylvania, and President of the Sickle Cell
7 Foundation of Ghana. He pioneered a newborn
8 screening and follow up program in Ghana, where 1
9 in 50 babies has sickle cell disease. This has
10 been a training center for sickle cell care and
11 research in Africa.

12 Dr. Ohene-Frempong also founded the
13 Sickle Cell Foundation of Ghana and was a
14 founding member of the Global Sickle Cell Disease
15 Network.

16 Dr. Hannon and Dr. Ohene-Frempong
17 will be greatly missed. Please join me in a
18 moment of silence to honor them. Thank you. May
19 I have the next slide please.

20 I also would like to take some time
21 to acknowledge that this will be the last
22 Advisory Committee meeting for Dr. Scott Shone

1 and myself, whose terms will end in June. Dr.
2 Shone, on behalf of HRSA and the Advisory
3 Committee, we thank you for your outstanding
4 service and contributions to the Committee and
5 the field of newborn screening. You have
6 dedicated countless hours to attend Committee
7 meetings, contributed to Committee products,
8 participated on the Nomination and Priority and
9 Lab Standards and Procedures workgroups, and
10 applied your in-depth subject matter expertise to
11 Committee deliberations and decisions. As a
12 token of our gratitude, we have sent an
13 appreciation plaque to Dr. Shone ahead of the
14 meeting. If you have it there, you can show it;
15 if not, that's okay. Also - there it is. Also,
16 we will be sending a certificate and letter of
17 appreciation from the HRSA Administrator, Carole
18 Johnson. I would now like to open the floor to
19 Dr. Shone to say a few words.

20 SCOTT SHONE: Thanks, Dr. Powell.
21 It's always dangerous when you let me have an
22 open mic, but. Serving on this Committee has

1 been just an absolute honor and really, I was
2 talking to my wife last night, it was a career
3 bucket list item that I achieved way earlier than
4 I ever anticipated.

5 I'd just like to say that, you know,
6 when I started in newborn screening, my training
7 is in microbiology and immunology, and I was in
8 bioterrorism and finding it not rewarding and
9 took an opportunity to move to newborn screening,
10 where I found something that I'm incredibly
11 passionate about. But, I always tell the story
12 of when I started in New Jersey, the program
13 there was having some challenges and they had
14 just bought three new tandem mass spectrometers
15 and the Assistant Secretary said to me, okay, we
16 have three new mass specs. You need to validate
17 them as your first job, and I said okay great.
18 What's a mass spec and how do you validate it?
19 And that was my introduction to newborn
20 screening.

21 The good news was that three weeks
22 later, the department had already requested an

1 external review of the New Jersey program and in
2 walked Gary Hoffman from Wisconsin, Brad Thereau
3 from the NFGRC and Harry. And Harry was a
4 tireless advocate and mentor, and I miss him
5 terribly. So, it has been an honor to serve on
6 this Committee and contribute to the system.

7 My service is not done. I don't
8 retire for decades. So, you're all going to have
9 to listen to me for a lot longer. So, thank you
10 everybody. Thank you, Dr Powell, for a few
11 moments and I wish my fellow Committee members
12 luck, because I hate to leave when the challenges
13 just continue to crescendo. But I'm always here
14 rooting for you all and would be happy to serve
15 in any role that you see I can fit in the future.
16 Thanks.

17 CYNTHIA POWELL: Thank you, Dr.
18 Shone. Once again, for your service. You have
19 made and continue to have a lasting impact on
20 newborns and their families across the nation.

21 For our first item of Committee
22 business, I'd like to announce that Dr. Margie

1 Ream will replace Dr. Jennifer Kwon, who is now
2 serving as a Committee member, as the
3 organizational representative for the Child
4 Neurology Society.

5 Margie Ream is an Assistant
6 Professor and Child Neurologist in the Department
7 of Pediatrics at Nationwide Children's Hospital
8 at the Ohio State University College of Medicine.
9 She has an extensive research background in fetal
10 physiology and nervous system development, and
11 this was the focus of her PhD thesis work. She
12 has public policy experience and subject matter
13 expertise regarding leukodystrophies and other
14 rare genetic diseases as Director of the
15 Leukodystrophy Clinic at Nationwide Children's
16 Hospital.

17 She's a member of the Ohio Newborn
18 Screening Advisory Council, a member of the
19 Secretary's Advisory Committee for Heritable
20 Disorders in Newborns and Children's Follow-up
21 and Treatment Workgroup and a co-investigator for
22 the HRSA Evidence Review Group.

1 As the provider of nearly all fetal
2 neurology consultations at Nationwide Children's
3 Hospital, Dr. Ream also has extensive contact
4 with maternal fetal medicine specialists and
5 neonatologists as they identify and develop
6 postnatal treatment plans for infants with
7 prenatal and neonatal diagnoses of genetic and
8 metabolic brain disorders.

9 Dr. Ream, we are excited to welcome
10 you. Next slide.

11 At the February 2022 meeting, the
12 Committee voted in favor of recommending adding
13 MPS II to the RUSP. Following the meeting, I
14 have sent a letter to Secretary Becerra with the
15 recommendation from the Advisory Committee.
16 Committee members and organizational
17 representatives received a copy of the letter in
18 the briefing book and for the public, a copy has
19 been posted on the Committee's website. Please
20 remember that the Secretary makes the final
21 decision on whether or not to accept the
22 Committee's recommendation. This decision will

1 be posted on the Committee's website once it's
2 available.

3 As I mentioned at the February
4 Advisory Committee meeting in October of 2021,
5 the National CMV Foundation submitted a RUSP
6 nomination package for congenital cytomegalovirus
7 newborn screening. The Nomination and
8 Prioritization Workgroup is reviewing the
9 nomination package for congenital cytomegalovirus
10 and will keep both the nominators and the rest of
11 the Committee informed of next steps. Next
12 slide.

13 As announced at the February
14 meeting, Federal Register Notices have been
15 published, calling for nominations for new voting
16 members and new organizational representatives.
17 Both of those just closed and the nominations are
18 currently under review. We will be reviewing the
19 nominations for the voting members to ensure that
20 the membership of the ACHDNC is fairly balanced
21 in terms of points of view represented and that
22 it meets the requirements as outlined in the

1 Newborn Screening Saves Lives Act, which include
2 medical, technical, or scientific professionals
3 with special expertise in the field of heritable
4 disorders, or in providing screening, counseling,
5 testing, or specialty services for newborns and
6 children with or at risk for having heritable
7 disorders. Also, individuals who have expertise
8 and ethics, infectious disease, and who have
9 worked and published material in newborn
10 screening, and members of the public, having
11 demonstrated expertise or lived experience.

12 Thank you to everyone who has submitted the
13 nominations.

14 Regarding capacity and
15 prioritization, the Committee had an initial
16 discussion at the February meeting on its
17 capacity to review multiple nominations per year.
18 I had mentioned that I intend to form a workgroup
19 comprised of current and former Committee members
20 and other subject matter experts to develop
21 criteria and a process for prioritizing the
22 review of nominated conditions.

1 This work is currently in the
2 contracting phase, and we expect the work in this
3 area to begin in 2022. This will be further
4 discussed at an upcoming Committee meeting. Next
5 slide please.

6 Thank you, Committee members and
7 organizational representatives, for reviewing the
8 February 2022 meeting summary. Are there any
9 other corrections to the meeting summary before
10 we vote? Is there a motion to vote on whether or
11 not to approve the February 2022 ACHDNC meeting
12 summary?

13 KYLE BROTHERS: This is Kyle
14 Brothers, so moved.

15 CYNTHIA POWELL: Is there a second?

16 SHAWN MCCANDLESS: This is Shawn
17 McCandless, I second.

18 CYNTHIA POWELL: Is there any
19 discussion of the motion? Hearing none,
20 Committee members, when I call your name, please
21 state, yes, if you're in favor of approving the
22 February meeting summary, no, if you are not in

1 favor of approving the summary, or you may also
2 abstain. As I mentioned earlier, Kamila Mistry
3 from Agency for Healthcare Research and Quality
4 is not able to attend this meeting. We'll go
5 next to Kyle Brothers.

6 KYLE BROTHERS: Yes.

7 CYNTHIA POWELL: From the Center for
8 Disease Control and Prevention, Carla Cuthbert.

9 CARLA CUTHBERT: Yes.

10 CYNTHIS POWELL: Jane DeLuca.

11 JANE DELUCA: Yes.

12 CYNTHIA POWELL: From the Food and
13 Drug Administration, Kellie Kelm.

14 KELLIE KELM: Yes.

15 CYNTHIA POWELL: From Health
16 Resources and Services Administration, Michael
17 Warren.

18 MICHAEL WARREN: Yes.

19 CYNTHIA POWELL: Shawn McCandless.

20 SHAWN MCCANDLESS: Yes.

21 CYNTHIA POWELL: Jennifer Kwon.

22 JENNIFER KWON: Yes.

1 CYNTHIA POWELL: From the NIH,
2 Melissa Parisi.

3 MELISSA PARISI: Yes.

4 CYNTHIA POWELL: Chanika
5 Phornphutkul.

6 CHANIKA PHORNPHTKUL: Yes.

7 CYNTHIA POWELL: Cynthia Powell, I
8 vote yes, and Scott Shone.

9 SCOTT SHONE: Yes.

10 CYNTHIA POWELL: Thank you. The
11 February 2022 ACHDNC meeting summary has been
12 approved. Thank you, Committee members. May I
13 have the next slide, please.

14 So, the Committee will meet today,
15 May 12th and tomorrow, May 13th. Here are the
16 meeting topics for today. First, we will have an
17 expert panel presenting on updates on
18 homocystinuria newborn screening. Next, we will
19 have the first public comment session of the
20 meeting, where we will hear from seven
21 individuals, including Danae Bartke from HCU
22 Network America, Terri Klein from the National

1 MPS Society, Dylan Simon from EveryLife
2 Foundation for Rare Diseases, Dean Suhr from MLD
3 Foundation, Kim Stephens from Project Alive. We
4 will also hear from Kim Tuminello and Heidi
5 Wallis, who have registered to provide public
6 comments on the Committee vote on
7 guanidinoacetate methyltransferase or GAMT
8 deficiency.

9 Then, the Evidence-Based review
10 Group will provide an overview of the Evidence-
11 Based review for GAMT deficiency.

12 Afterwards, Committee liaisons to
13 the Evidence Review Group, Dr. Jane DeLuca and
14 Dr. Shawn McCandless will present the Committee
15 report on newborn screening for GAMT deficiency.

16 At approximately 2:50, the Committee
17 is scheduled to begin the vote on whether or not
18 to recommend GAMT deficiency for inclusion on the
19 Recommended Uniform Screening Panel. We will end
20 today at 3:20 Eastern time and reconvene tomorrow
21 morning at 10:00 a.m. Next slide, please.

22 Tomorrow, Friday, May 13th, the

1 Committee will begin with the second public
2 comment period, where we will hear from Nikki
3 Armstrong from Parent Project Muscular Dystrophy,
4 Richard Poulin from Special Education Teaching
5 and Learning, Inc., and five individuals who will
6 provide public comments on the Committee vote on
7 Krabbe Disease, including Jackie Wagner, Natasha
8 Spencer, Carlita Blackwell, Joanne Kurtzberg, and
9 Dieter Matern.

10 Following the public comment period,
11 the Nomination and Prioritization Workgroup will
12 provide a summary of the nomination package for
13 Krabbe Disease.

14 Immediately after the Nomination and
15 Prioritization Workgroup presentation, the
16 Committee will have an opportunity to discuss the
17 nomination package and hold a vote on whether or
18 not to move Krabbe Disease forward to full
19 Evidence-Based review.

20 The last session tomorrow will be a
21 presentation from the Newborn Screening Family
22 Education Program. We will aim to adjourn the

1 meeting at approximately 12:40 p.m. Eastern time.

2 Now, I'll turn things back over to

3 Soohyun.

4 SOOHYUN KIM: Thank you. For the
5 record, Susan Tanksley from Association of Public
6 Health Laboratories and Robert Ostrander from
7 American Academy of Family Physicians are
8 present.

9 For members of the public, audio
10 will come through your computer speakers. So,
11 please make sure that you have your speakers
12 turned on. If you cannot access the audio
13 through your computer, you may dial into the
14 meeting using the telephone number in the e-mail
15 with your Zoom link.

16 As mentioned previously, this
17 meeting will not have an all-attendee chat
18 feature. But we do have the public comment
19 period scheduled later today.

20 Committee members and org reps,
21 audio will come from your computer speakers and
22 you'll be able to speak using your computer

1 microphone. If you cannot access the audio or
2 microphone through your computer, you may dial in
3 to the meeting using the telephone number in the
4 e-mail with your user-specific Zoom link.

5 Please remember to speak clearly and
6 remember to state your first and last name to
7 ensure proper recording for the Committee
8 transcript and minutes.

9 The Chair will call on Committee
10 members and then organizational representatives.
11 In order to better facilitate the discussion, we
12 remind you to use the raise hand feature when you
13 would like to make comments or ask questions.
14 Simply click on the participant icon and choose
15 raise hand.

16 Please note that, depending on your
17 device or operating system, the raise hand
18 feature may be in a different location. To
19 troubleshoot, please consult the webinar
20 instructions page in your briefing book. Next
21 slide, please.

22 To enable closed captioning, please

1 select the closed captioning icon from your Zoom
2 Taskbar and then select show title from the menu
3 that appears.

4 Thank you. Back to Dr. Powell.

5 CYNTHIA POWELL: Thank you, Soohyun.

6 In 2019, the Committee received public comments
7 from the homocystinuria or HCU Network America
8 about the low sensitivity of newborn screening
9 for homocystinuria. They estimated at the time
10 that up to 50% of cases may be missed, and the
11 Committee discussed following up on how to
12 address this issue.

13 I have invited three speakers today
14 to provide us with an overview of the current
15 status of HCU newborn screening and updates,
16 possible solutions to the challenges with HCU
17 screening, and any advances in the screening
18 technology.

19 Our first presenter is Dr. Marzia
20 Pasquali, who will provide us with an overview of
21 the Status of Newborn Screening for
22 Homocystinuria. Dr. Pasquali is a Professor of

1 Pathology, the Program Director of the
2 Accreditation Council for Graduate Medical
3 Education Accredited Fellowship Program in
4 Clinical Biochemical Genetics at the University
5 of Utah School of Medicine, and the Section Chief
6 and Medical Director of Biochemical Genetics at
7 ARUP Laboratories.

8 Dr. Pasquali earned her degrees of
9 Doctor in Pharmaceutical Chemistry and Technology
10 and Pharmacy Doctor at the University of Parma
11 School of Pharmacy in Italy. She trained in
12 Clinical Biochemical Genetics at Emory University
13 in Atlanta Georgia, where she later served as the
14 Co-Director of the Biochemical Genetics
15 Laboratory. Dr. Pasquali is Board Certified in
16 Clinical Biochemical Genetics. She is a member
17 of the Society for Inherited Metabolic Disorders,
18 the American College of Medical Genetics and
19 Genomics, and several other professional
20 societies. Her research interests are newborn
21 screening disorders of carnitine and creatine
22 metabolism and transport and lysosomal storage

1 disorders. I'll now turn things over to Dr.
2 Pasquali.

3 **UPDATES ON HOMOCYSTINURIA NBS STATUS: PANEL**
4 **PRESENTATION**

5 MARZIA PASQUALI: Thank you, Dr.
6 Powell for the introduction. Today, I will talk
7 about homocystinuria. Next slide.

8 I will give a brief introduction of
9 homocystinuria and the biochemical patterns, a
10 clinical description of classic homocystinuria,
11 and then I will briefly introduce a newborn
12 screening and how it's currently done. Next
13 slide.

14 Homocystinurias are a group of
15 disorders characterized by elevated homocysteine
16 and often elevated homocystine. The difference
17 in between homocysteine and homocystine is that
18 homocystine is formed by attaching to
19 homocysteine molecules. Only 1 to 2% of total
20 homocysteine is present as such. The rest is
21 bound to proteins through a disulfide bond or is
22 present as a homodimer, free homocystine. Again,

1 you can see that there are two molecules of
2 homocysteine that are bound together.

3 When we look at plasma amino acid
4 analysis, what we are measuring, we are measuring
5 this dimer, the free homocystine, which account
6 for only about 10% of the total homocysteine.

7 If you want to measure total
8 homocysteine, you need an additional step and
9 additional chemical reaction that reduces the --
10 reduces the bond and breaks this bond and breaks
11 this dimer into the two homocysteine molecules.
12 Next slide.

13 This slide shows the metabolic
14 pathways for sulfur amino acid. We can see three
15 -- the three major pathways, re-methylation,
16 transfer of the methyl group, and trans-
17 sulfuration. If we start from the methionine,
18 there is a series of enzymatic reaction that are
19 transferring the methyl group to other molecules
20 such as guanidinoacetate to synthesize the
21 creatine or glycine to synthesize the sarcosine
22 and form -- this series of reaction, methionine

1 is converted to homocysteine. Homocysteine is
2 then converted to cystathionine by the action of
3 the enzyme cystathionine beta synthase, which
4 uses B6 vitamin, B6 pyridoxine. Then,
5 homocysteine is remethylated to form methionine
6 again by a series of reaction and co-factor
7 including vitamin B12 to again going back to
8 methionine.

9 In disorders of homocysteine
10 remethylation, those that are on the left of the
11 screen in the green box, the characteristic
12 marker would be elevated total homocysteine,
13 elevated homocystine, and the low methionine
14 because again, the remethylation of homocysteine
15 to methionine is impaired.

16 In disorder of the methyl group of
17 transfer, we are going to see markedly elevated
18 methionine with either normal or mildly elevated
19 homocysteine and normal homocystine. In the
20 cystathionine beta synthase deficiency, which is
21 in the blue box at the bottom, we are going to
22 see markedly elevated methionine, elevated --

1 markedly elevated sulfa homocysteine and elevated
2 homocystine as well. Next slide.

3 So, there are mainly four
4 biochemical markers that are necessary for the
5 diagnosis of homocystinuria. Methionine, which
6 is elevated in cystathionine beta synthase
7 deficiency and low in disorder of homocysteine
8 remethylation.

9 Total homocysteine and free
10 homocystine, which are elevated in both disorders
11 of remethylation and in cystathionine beta
12 synthase deficiency.

13 And then, we also have a
14 methylmalonic acid, which is elevated in disorder
15 of vitamin B12 metabolism, which impairs
16 homocysteine remethylation and methylmalonic acid
17 metabolism. Next slide.

18 So, let's talk now about classic
19 homocystinuria. Classic homocystinuria is caused
20 by deficiency in cystathionine beta synthase,
21 which is an enzyme requiring vitamin B6 and is
22 also in elevated methionine and elevated

1 homocysteine and the homocystine.

2 The incidence calculated by newborn
3 screening, this is a paper published in 2014
4 looking at ten years of newborn screening. The
5 incidence was 1 in 456,000 newborns. The
6 estimated prevalence is 1 in 200,000 to 1 in
7 335,000. This indicates that newborn screening
8 can miss cases of classic homocystinuria.

9 Classic homocystinuria is inherited
10 as an autosomal recessive trait.

11 The diagnosis is accomplished
12 through newborn screening and currently the
13 marker -- the primary marker is elevated
14 methionine. Plasma amino acids will show
15 elevated methionine and presence of free
16 homocystine, total plasma homocysteine is usually
17 markedly elevated, and usually is even greater
18 than 100 μ M with the normal range less than 12.

19 The diagnosis is confirmed by DNA
20 sequencing.

21 The therapies start with a low-
22 protein diet with amino acid mixture that does

1 not contain methionine, pyridoxine in responsive
2 patients, betaine to favor homocysteine
3 remethylation, and methyl folate and vitamin B12,
4 which will help again in the remethylation
5 processing. Next slide.

6 What is the clinical presentation of
7 classic homocystinuria? We have manifestation to
8 the eyes and patients show lens dislocation
9 and/or severe myopia. Skeletal systems is
10 involved as well and these patients usually have
11 tall stature with long limbs, longer arms and
12 legs, scoliosis, and osteoporosis.

13 Thromboembolism is a characteristic of this
14 condition and developmental delay and intellect
15 disability. Thromboembolism is the major cause
16 of early death and morbidity in patients who are
17 not treated and it's manifesting in late
18 childhood and young adults, which is not the age
19 group which typically can absorb thromboembolism,
20 thrombotic disorders.

21 There is a milder phenotype, which
22 is B6-responsive homocystinuria. The majority of

1 infants identified by newborn screening currently
2 are B6-non-responsive and this is because it's
3 rare for a B6-responsive patient to have the
4 methionine elevated and when I say methionine
5 elevated, I mean methionine above the decision
6 limit or the cutoff that has been established by
7 the newborn screening lab. So, it's rare for a
8 B6-responsive infant to have methionine elevated
9 at the time of the first newborn screen, which is
10 collected between 24 and 48 hours of life.

11 Complications of homocystinuria can
12 be prevented by early identification and
13 treatment. Therefore, newborn screening --
14 sensitive newborn screening program is necessary.
15 Next slide.

16 How is newborn screening done
17 currently. Well, we all know tandem mass
18 spectrometry is universally used and the
19 sensitivity of the newborn screening for
20 homocystinuria depends upon the choice of the
21 markers and the choice of decision limits.
22 Methionine is the primary marker and again may

1 not be above the cut-off in classic
2 homocystinuria especially for the B6-responsive
3 variant. Therefore, classic homocystinuria may
4 be missed.

5 Ratios can be used as secondary
6 markers to increase the sensitivity and one
7 example of the ratios could be Met/Phe ratio.
8 Next slide.

9 Other causes of -- there are other
10 causes of elevated methionine in newborn
11 screening which increase the noise of the
12 screening. These are high-protein diets. It's
13 not very common but in our experience, we have
14 seen infants with elevated methionine on newborn
15 screening because they were fed a high-protein
16 diet. Low birth weights and prematurity, again,
17 in our experience, one third of the infants with
18 elevated methionine were premature. Liver
19 disease, deficiency of the enzymes which are
20 involved in the transfer of the methyl group will
21 result in elevated methionine and then citrin
22 deficiency, also known as citrin anemia type 2

1 and tyrosinemia type 1, are conditions that can
2 result in elevated methionine. Next slide.

3 So, how do we reduce the noise. We
4 can use a second-tier test. Second-tier tests
5 are tests that are run on the same sample used
6 for the primary screen. So, there is no need to
7 re-collect the sample, but targeting different
8 analytes. And the purpose again is to identify
9 infants at risk to have a metabolic disease while
10 reducing the false positive and also reducing the
11 false negatives. Next slide.

12 What is the strategy for second-tier
13 tests. Because the noise is introduced by the
14 fact that the marker of the specific condition
15 may be elevated also due to different causes, so
16 the strategy would be to identify specific
17 markers for the condition. In cases of
18 homocystinuria, the specific marker would be
19 total homocysteine.

20 There are also molecular second-tier
21 tests, but in this case, biochemical second-tier
22 test is going to be much more effective. Dr.

1 Matern and Dr. Petritis are going to talk about
2 second-tier tests and their effectiveness. Next
3 slide.

4 I'm just going to end my
5 presentation with a summary of the recommendation
6 for newborn screening for homocystinuria that
7 were published three years ago in the *Journal of*
8 *Inherited Metabolic Disorders* and the
9 recommendation where to revise the decision
10 limits with reference to the median, use a
11 combination of markers, so like methionine and/or
12 a ratio Met/Phe, use post-analytical tools,
13 again, which will help reduce the noise, and
14 implementation of second-tier tests. Next slide.

15 In summary, newborn screening for
16 classic homocystinuria is possible and can be
17 effective. The primary marker currently used is
18 not sensitive to detect all cases and we need
19 more sensitive and specific markers.

20 Multiple markers increase the
21 sensitivity of the screening.

22 Second-tier tests are effective in

1 reducing the number of false positives and false
2 negatives, but they can be a burden to newborn
3 screening laboratories.

4 The use of bioinformatic tools can help
5 identifying samples needing the second-tier tests
6 and decreasing the burden to newborn screening
7 laboratories. Thank you.

8 CYNTHIA POWELL: Thank you, Dr
9 Pasquali.

10 The Committee will hold questions
11 and comments until after all panelists have
12 presented.

13 Next, I would like to welcome Dr.
14 Dietrich Matern, who will discuss the possible
15 and available solutions to the HCU newborn
16 screening problem. Dr. Matern is a Professor of
17 Laboratory Medicine, Medical Genetics and
18 Pediatrics and Co-Director of the Biochemical
19 Genetics Laboratory at the Mayo Clinic in
20 Rochester, Minnesota.

21 Dr. Matern's research activities
22 involve the development and improvement of

1 laboratory assays for the effective and efficient
2 screening diagnosis and follow-up of patients
3 with inborn errors of metabolism.

4 He has also participated in the
5 laboratory evaluation of animal models and
6 clinical trials as a collaborator with colleagues
7 at Mayo Clinic and other academic institutions.

8 He authored or co-authored more than
9 160 peer-reviewed publications and textbook
10 chapters.

11 Dr. Matern currently serves on
12 several committees, boards, and working groups of
13 the Minnesota Department of Health, the American
14 College of Medical Genetics and Genomics, the
15 College of American Pathologists, the Association
16 of Public Health Laboratories, the Clinical
17 Laboratory Standards Institute, and patient
18 advocacy organizations.

19 From 2011 to 2018, he served as a
20 Member of this Committee, the Advisory Committee
21 on Heritable Disorders in Newborns and Children.

22 I'd like to turn it over now to Dr.

1 Matern.

2 DIETRICH MATERN: Thank you, Dr

3 Powell. Can you hear me?

4 CYNTHIA POWELL: Yes.

5 DIETRICH MATERN: Okay, thank you.

6 Thank you for that very kind introduction and for

7 inviting me back to the Committee and talk to you

8 about homocystinuria's newborn screening problem,

9 and what are possible and available solutions.

10 Next slide, please.

11 So, as you heard before, methionine

12 is easy to measure. Everyone uses tandem mass

13 spectrometry to do so, but it is not sensitive,

14 even with a low cut off. And, as this graph

15 shows, it's also not very specific, because there

16 is a significant overlap between methionine

17 values in babies treated with total parental

18 nutrition and those that have homocystinuria.

19 I was made aware of the issue in

20 1999 when Harvey Levy and others published this

21 paper in *The New England Journal of Medicine*,

22 pointing out that there's a significant problem

1 of missing babies with homocystinuria when using
2 the methionine as the primary marker. Next
3 slide.

4 Also, in 2007 when tandem mass
5 spectrometry was introduced into newborn
6 screening programs, this Dutch group pointed out
7 the problem of TPN and some TPN solutions that
8 included a lot of methionine-causing problems
9 with screening for homocystinuria. Next slide,
10 please.

11 As Dr. Pasquali already mentioned, a
12 proposed solution has been made that you might
13 want to just add a ratio, such as methionine
14 phenylalanine, which is again, easy to measure
15 when you use tandem mass spec and you get both
16 values from methionine phenylalanine, but the
17 problem here is again that it is not sufficiently
18 sensitive and also not specific, as you can see,
19 in that graph TPN again overlaps quite a bit with
20 patients with homocysteine just for the
21 methionine to phenylalanine ratio. Next slide,
22 please.

1 As also was mentioned, molecular
2 testing is often thrown into the mix as solving
3 the newborn screenings, but if you look, just a
4 few days ago in ClinVar, 974 variants in the CBS
5 gene are listed there, and of those, only 27% of
6 known significance, which means that the rest,
7 714 variants currently, we don't really know
8 exactly what they might be doing. So, if you
9 actually have a genotype, the chances that you
10 have a not-so-certain variant included in the
11 genotype it's quite high. Next slide, please.

12 The other proposed solution, which
13 you will hear in the next talk, is to just
14 measure total homocysteine as a primary screen
15 replacing basically methionine and that would
16 probably work very well, as you can see here,
17 because patients with homocystinuria, as you just
18 heard and also before, have really high total
19 homocysteine. Next slide, please.

20 Dr. Petritis and Carla Cuthbert and
21 others at the CDC published a feasibility study
22 using a new technology to do so -- next slide,

1 please -- but I think what Dr. Petritis will tell
2 you, this is not quite ready for prime time.

3 Next slide, please.

4 But there is currently a solution
5 available, and that is to major total
6 homocysteine as part of a second-tier test. It's
7 sensitive, it requires additional technology in
8 the laboratory, liquid chromatography, tandem
9 mass spectrometry, but it can be regionalized.
10 So, not every screening program has to do this
11 because homocystinuria, as you know, is not a
12 time-critical condition. So, sending a specimen
13 overnight to another laboratory to do the testing
14 is not a problem and for homocystinuria, you
15 could even batch the analysis of doing it only
16 twice a week or so, and you can add additional
17 markers.

18 So, when we published this for the
19 first time in 2010, we actually developed an
20 assay where we measured total homocysteine,
21 methylmalonic acid, and 2-methylcitric acid to
22 support newborn screening for homocystinuria and

1 other conditions. This test is not unique to
2 Mayo Clinic, so not -- you don't have to be the
3 Mayo Clinic to do this test, as published only
4 last year, Spain implemented the test and in
5 between, there were other papers doing the same.
6 Next slide, please.

7 So, when would you use this test.
8 Again, if you include more than total
9 homocysteine, such as methylmalonic acid and 2-
10 methylcitric acid, you can use it actually when
11 you have elevations of C3-acylcarnitine to
12 differentiate between false positives and
13 propionic acidemia and methylmalonic acidemias
14 when methionine is elevated but also when
15 methionine is reduced -- next slide -- because
16 there are remethylation disorders that also
17 deserve identification for newborn screening,
18 because, as in this paper, it was shown these
19 conditions are treatable, but the patients
20 benefit when this treatment is initiated early,
21 making a case for newborn screening.

22 So, overall, about 1% to 2% would

1 deserve the second-tier test or require the
2 second-tier test based on a high C3-acylcarnitine
3 or high or low methionine. Next slide, please.

4 We published recently our experience
5 with the second-tier test and, as you can see in
6 that table, there are multiple conditions that
7 are indicated by high C3 or high or low
8 methionine that can be better determined using
9 the second-tier test and most importantly, you
10 can reduce false positives and exclude total
11 parental nutrition. Next slide, please.

12 We have done this now between 2012
13 and 2019. In that timeframe, more than 5,600
14 times and, as you can see, we found 44 babies
15 which had an isolated homocysteine elevation.
16 So, these patients had homocystinuria. Next
17 slide.

18 What's a second-tier test? So, in
19 my opinion, it's a cost-effective approach to
20 reduce false positive results in cases like
21 homocystinuria where you have the problem of
22 overlap with a poorly specific insensitive

1 marker. You do it after the primary screen. You
2 don't ask for another specimen. No additional
3 patient contact. You use the original newborn
4 screening blood spot, and then if the second-tier
5 test is normal, it overrules the primary screen.
6 That's how to reduce false positives and there's
7 plenty of examples out there where this is being
8 done biochemically, but the best known is
9 probably by molecular and CF screening. Next
10 slide, please.

11 So, what happens at the birth place,
12 a sample is collected. Next slide.

13 The specimen goes to the screening
14 lab. They do their primary screen, and, in most
15 instances, everything is fine and everyone is
16 happy. Next click.

17 However, if it's abnormal, often a
18 repeat is requested, the testing is done again.
19 It could be abnormal again, and then you finally
20 get to confirmatory testing. I don't think
21 that's a good idea. It wastes time, it wastes
22 effort, it creates anxiety in the families, and a

1 lot of work for the follow-up people. Next
2 slide, please.

3 So, when you have a second-tier
4 test, you take another punch. You do that, and
5 in most instances, it's normal, everyone should
6 be happy.

7 If it is abnormal -- next slide --
8 then, you go right to confirmatory testing and
9 the physician can tell the family with good
10 confidence that this is most likely a true
11 positive result and requires action. Next slide,
12 please.

13 So, when we did newborn screening
14 using tandem mass spec for the state of Minnesota
15 in the timeframe from April 2005 when we started
16 using our second-tier tests through December
17 2011, if we had not used the second-tier test but
18 applied the same rules to use the second-tier
19 test, we would have had 10,900 false positives
20 among half a million babies, which is 2% and the
21 follow-up cost calculated based on 2012 cost data
22 and using the ACMG algorithm to determine what

1 kind of work is required to follow up on an
2 abnormal screening result, it would have cost the
3 state \$9.3 million. However, we did have a
4 second-tier test -- next slide, please -- and
5 with the second-tier test, we had 31 false
6 positives and a follow-up cost of \$400,000 and
7 basically could save almost \$9 million to the
8 health care system in Minnesota. Next slide,
9 please.

10 If we extrapolate this to 4 million
11 babies born in the US, the false positives again
12 would be 2.2%. The total follow-up costs, based
13 on the 2012 data -- so not based on 2022 data
14 where it would be likely much higher -- would be
15 \$74 million. Next slide, please.

16 With a second-tier test, if it was
17 applied across the US, we could save \$71 million
18 in 2012, probably \$100 million today, in health
19 care costs. Next slide, please.

20 So, in summary, newborn screening
21 for homocystinuria is currently hampered by the
22 marker methionine. There is a solution currently

1 available using the second-tier test. It's
2 efficient, effective, and it is accessible, and
3 it can identify most cases of homocystinuria if
4 we really wanted to do this.

5 Every state says we're screening for
6 homocystinuria, but are we really? Total
7 homocysteine may be added and a new screening
8 essay in the future, and you will hear about that
9 and I think it's also, as I hope to have showed
10 you, we could reduce unnecessary health care
11 spending if we really considered newborn
12 screening as a system and not compartmentalized.

13 The issues that we often hear is why
14 screening labs do not want to use a second-tier
15 test that is done outside or even inside their
16 own walls, is because they don't have the funding
17 to do the testing in-house and they don't have
18 the permission to send out samples or create
19 additional costs by sending it because people do
20 not look at newborn screening as a system and
21 that we can save for the overall health care
22 system, and not just for single laboratory. Next

1 slide.

2 With that, I am done and I really
3 would like to acknowledge everyone in my
4 laboratory and specifically our genetic
5 counselors and my colleagues running the
6 laboratory, and I will be happy to answer any
7 questions later.

8 CYNTHIA POWELL: Thank you, Dr.
9 Matern.

10 Our last panelist is Dr. Kostas
11 Petritis, who will give us an update on advances
12 in HCU newborn screening detection.

13 Dr. Petritis received his Master of
14 Science and PhD degrees in Analytical Chemistry
15 from the University of Orléans France. In 2002,
16 he joined Pacific Northwest National Laboratory
17 in Richland, Washington as a postdoctoral fellow
18 and later as a senior staff scientist, where he
19 worked in the field of mass spectrometry-based
20 proteomics.

21 In 2009, Dr. Petritis was hired as
22 an Associate Professor and Laboratory Head of the

1 Translational Genomic Research Institute in
2 Phoenix, Arizona to work on biomarker
3 development.

4 In 2014, he joined the Arizona
5 Office of Newborn Screening and Phoenix
6 Children's Hospital as a principal investigator,
7 where he led several federal public health and
8 research grants before joining the CDC in June of
9 2017.

10 He has worked on bioanalytical mass
11 spectrometry, biomarker development, automation,
12 predictive algorithms, and proteomics research.

13 His current interests include, but
14 are not limited to, advanced analytical methods,
15 development and validation for newborn screening,
16 development of dried blood spot space quality
17 assurance materials and calibrators, clinical
18 assays, harmonization, and metabolomics. He has
19 co-authored more than 200 communications.

20 I'll now turn things over to Dr.
21 Petritis.

22 KOSTAS PETRITIS: Thank you for the

1 kind introduction. Next slide, please.

2 So, this is the outline of my
3 presentation, for today. I will start by
4 presenting a slide on current analytical
5 practices for homocystinuria screening in
6 newborns, following for describing some work
7 towards a universal second-tier screening assay
8 for biochemical newborn screening biomarkers,
9 including homocysteine. And then, I will
10 describe some proof of concept work that we did
11 on combining first- and second-tier screen
12 biomarker using separation before analysis by the
13 tandem mass spectrometry. And finally, finally,
14 the majority of my presentation will focus on our
15 efforts towards multiplexing homocysteine
16 detection in primary flow-injection analyses
17 tandem mass spectrometry screening. Next slide,
18 please.

19 So, as you heard already twice,
20 methionine is currently used as a biomarker in
21 primary newborn screening for homocystinuria.
22 Unfortunately, it has poor sensitivity and

1 specificity.

2 Total homocysteine is the most
3 specific marker for homocystinuria, but
4 currently, it's only used as a second-tier
5 screening marker following a presumptive positive
6 methionine elevation in primary screening.

7 Now, as Dr. Matern and Dr. Pasquali
8 told you, there are second-tier screening methods
9 out there either for only total homocysteine or
10 multiplexing mainly with organic acids.

11 Now, generally speaking, I want to
12 mention that second-tier screening assays are
13 very fragmented. Many of them, it's just the one
14 assay for one disease. You have like one second-
15 tier screening assay for muscular dystrophy, one
16 for Krabbe disease, one for MPS I, one for
17 congenital adrenal hyperplasia, and I feel this
18 is one of the reasons that can lead to low
19 adoption rates for in-house second-tier
20 screening. So, too many assays to maintain.

21 Some other agencies said that some
22 of the assays have relatively low reflex rates.

1 So, you may have only, you know, one specimen to
2 analyze per week and you still have to maintain
3 the method, make standards, calibrate the
4 decisions before you run one specimen or two.

5 Other reasons that have been
6 mentioned is the need to have a separate mass
7 spectrometry instrument and delays in reporting.

8 As Mr. Matern mentioned, regional
9 second-tier scanning is a possibility. Next
10 slide, please.

11 So, in order to overcome some of
12 those limitations, we ask ourselves, can we just
13 take all of those second-tier screen biomarkers
14 and just make one assay and be able to analyze
15 all of them.

16 And we also saw an opportunity with
17 the introduction of adrenoleukodystrophy in the
18 Recommended Uniform Screening Panel because, as
19 you know, adrenoleukodystrophy is using mainly
20 flow-injection analysis with the mass
21 spectrometry to analyze lysophosphatidylcholines,
22 LCP-26, and there are like a lot of high

1 correspondents that require second-tier
2 screening.

3 So, some states reflex up to 3% of
4 their daily specimens to second-tier screening
5 for ALD.

6 So, the idea was like, okay, let's
7 take LPC-26 and try to multiplex with all the
8 other biomarkers for diseases that have no reflex
9 rate and come up with an assay that can generate
10 actually enough specimens every day in the
11 laboratory to justify to do second-tier screening
12 in-house daily. And this is what we came up
13 with.

14 So, we have a method. It
15 multiplexes about 19 second-tier screening
16 biomarkers, including homocysteine -- that's
17 circled here in red -- and LPC-26, organic acids,
18 LPC, leucine, isomers, and other analytes of
19 interest.

20 So, in order to achieve that, we use
21 hydrophilic interaction chromatography coupled
22 with mass spectrometry and we can validate this

1 assay in-house.

2 We have the manuscript. It's
3 currently in clearance and it will be soon
4 submitted for analytical chemistry. Next slide,
5 please.

6 As Dr. Matern said, we also did some
7 proof of concept work where we said, well, let's
8 try actually to go combine first- and second-tier
9 screening analytes and using separation before
10 mass spectrometry, and for this work, we use the
11 electrophoretic separations that are like
12 extremely fast. You can see from the figure -- I
13 don't know if you can see it -- but this window
14 here is 0.8 to 1.4 minutes, so very high peak
15 capacities. You can do acylcarnitines, you can
16 the amino acids, and at the same time, you can do
17 your second-tier screening analytes, Hcy that is
18 shown here in red, and you can even achieve a
19 baseline separation for very difficult analytes
20 like leucine, isoleucine, and alloisoleucine. So,
21 biomarker for L-isoleucine.

22 There are some limitations. There

1 is an inability to analyze organic acids, which
2 is inherent to this method, we couldn't do it the
3 same, and some other cycle-times considerations.
4 All of those limitations are described into our
5 recently published paper in *Clinical Chemistry* in
6 December of 2021.

7 But, as Dr. Matern said, this
8 particular assay is not ready for prime time, but
9 it shows kind of what you can do currently with
10 separation before mass spectrometry analysis.
11 Next slide, please.

12 So, I will transition now talking
13 for the main topic of my presentation, which is
14 actually multiplexing total homocysteine into
15 primary flow-injection mass spectrometer.

16 So, as we said, one of the
17 complications and challenges is that the reducing
18 step is required to be able to quantify total
19 homocysteine. That's because more than 98% of
20 homocysteine is either oxidized with itself or
21 it's bound to proteins. So, we needed a
22 reducing agent to be able to cleave the disulfide

1 bone and make total homocysteine detection
2 feasible.

3 So, when we started this work, you
4 know, we had no idea of the challenges that we
5 were going to face. There was nothing published
6 in the literature, of course. So, you know, we
7 had no idea, you know, are they going to be
8 interferences with total homocysteine during
9 flow-injection analysis and mass spectrometry?
10 What's going to be the impact of reducing agents
11 on other biomarkers? Are there some solvent
12 extraction issues or workflow considerations? We
13 needed to respond to all of that. Next slide,
14 please.

15 So, if you look at the literature,
16 there are currently two common disulfide bond
17 reducing agents. One is DTT and the other is
18 TCEP. DTT, and I show the structures here, has
19 actually two free thiol groups, which is
20 important for something that we see later, TCEP
21 doesn't. So, DTT is the most commonly used in
22 newborn screening papers. It's a reversible

1 reaction, the reduction that you can get with
2 DDT, and does not ionize in positive mode mass
3 spectrometry, which is good.

4 On the other hand, TCEP is a
5 stronger reducing agent, has better stability.
6 It has been important that it can form byproducts
7 with heating, it does ionize in positive ion mode
8 mass spectrometry, and we saw some interesting
9 research paper where there is actually potential
10 for post-reaction removal if you bind the TCEP
11 with magnetic nanoparticles. So, you can do the
12 reaction and then eliminate the TCEP from your
13 solution. Next slide, please.

14 So, first of all, we want to see if
15 there are any identification of any -- any
16 interference with the homocysteine. We thought
17 that maybe we would be lucky, and there would be
18 none. So, in order to see if there are any, we
19 took just a specimen rich with homocysteine. We
20 did our sample prep on test one and we looked at
21 high-resolution mass spectrometry to be able to
22 see if there are any interference of the

1 transition 136 to 90, which is what we use for
2 total homocysteine. And you can see that they
3 were like definitely several interferences and we
4 identified the major ones, which actually were
5 coming from us using internal standards that
6 interfere with total homocysteine transition.
7 So, one of them is methionine D3. D3 stands for
8 deuterium, which substitutes hydrogen. The mass
9 for this one has less than 153 but it fragments
10 to create another amion at 136, which is a
11 paradigm homocysteine and then the fragmentation
12 is the same with leucine, you can see it here.
13 The M+1 ion interferes with homocysteine
14 transition. And then there are some other minor
15 interferences.

16 So, this is actually all those
17 different items that you can see here with high-
18 resolution mass spectrometry, you wouldn't --
19 they will all come under one peak because of the
20 resolution of triple quads that are using newborn
21 screening.

22 So, we had to come up with another

1 way to solve this problem, and then we thought
2 that maybe we should try specific thiol
3 derivatization to see if the total homocysteine -
4 - the homocysteine transition from 136 to
5 something higher that hopefully is not going to
6 interfere with other compounds.

7 Now, just a reminder that
8 homocysteine currently is the only newborn
9 screening biomarker that has free thiol, so if we
10 do thiol derivatization, homocysteine will be the
11 only compound that it's affecting. Next slide,
12 please.

13 So, we tried several times with
14 derivatization agents and we ended up using N-
15 ethylmaleimide, which I will refer to it as NEM
16 from now on. So, NEM, we add to any free thiol
17 group. That includes, of course, homocysteine,
18 but DTT as well because, as you remember, we had
19 -- it has two free thiol groups. NEM shifts the
20 homocysteine transition from 156 to 90 to 261 to
21 256. You can see here in this figure how it
22 works, total homocysteine and NEM solution, and

1 it forms this new entity, homocysteine NEM. It
2 has a ratio of 261 and you further fragment it in
3 the second quadruple you end up with very clean
4 spectra, just two fragments, 56 and 215, and we
5 use the 56, which is the major fragment.

6 Then, we looked at a little bit of
7 the effect of the two different reagents --
8 reducing agents. So, DTT, we saw that it reacts
9 with NEM, and although DTT does not -- you cannot
10 see positive ion mode, this complex, you can --
11 it's ionized very well in tandem mass
12 spectrometry and creates a lot of ions
13 suppression for all the other analytes.

14 And we saw some evidence that there
15 is also some reaction with acylcarnitines. So,
16 at that point, we said okay, we are not going to
17 pursue DTT as a reducing agent from now on and
18 we're just going to use this step instead. Next
19 slide, please.

20 So, this is the updated sample
21 preparation with the TCEP-NEM protocol, and in
22 the interest of time, I'm not going to into

1 details on it. I'm just going to say what you
2 can see in blue, these are like the two
3 additional steps that we added. One where we add
4 12 microliters 30 mM TCEP and shake for five
5 minutes in room temperature to perform the
6 reduction. And then later in the sample prep, we
7 add 40 microliters of 40 mM NEM and shake for
8 another five minutes in order to derivatize
9 homocysteine. Next slide, please.

10 So, the method actually, it's
11 validated right now, but we have preliminary
12 findings that we can share with you. So, we saw
13 that selective derivatization with NEM increases
14 total homocysteine signal by three to four times.
15 Linearity is great from 2 to about 120 micromole
16 per liter, that's the range that we tested, which
17 includes -- it's great because it includes all
18 the reference range and the disease range for
19 homocystinuria.

20 Precision, [indiscernible 1:12]
21 total homocysteine with a relative selective
22 derivatization of less than 11.3%. Limits of

1 quantitation of 2.8 micromole per liter, and no
2 interference detected for total homocysteine. We
3 used the same high-resolution mass spectrometry
4 to confirm that we don't see any interferences.

5 So, effect on other analytes, which
6 is also important. So, we saw that TCEP and NEM
7 increase the ion-suppression overly but there's
8 still enough sensitivity for all analytes to be
9 detected. And actually, the general standards
10 compensate for this suppression, as you can see
11 in the next slide.

12 So, C5:1 now was the only analyte
13 that was highly affected but C5:1 uses surrogated
14 internal standard. So, we are currently
15 synthesizing C5:1 to see if we can mitigate that.

16 So, we did a side-by-side comparison
17 between the two methods. Our current method,
18 which multiplexes amino acylcarnitines with this
19 new method, the TCEP-NEM, the multiplex
20 homocysteine is there, and you can see the
21 results in this graph. So, everything is
22 compared actually to our current method, which

1 can be seen here by this dotted line. So, if
2 there were no changes, all the other analytes are
3 falling around the dotted line and with the
4 exception of C5:1, you can see that every other
5 analyte is within plus/minus 20% of our two
6 methods. And if you look closely, you will see
7 the ones that are about 20% higher are things
8 that don't have its own internal standard and
9 they use a surrogated internal standard like C3DC
10 and C408, C401, C8. All of those are at about
11 20%. Everything else is within this 20% range.

12 And even for those analytes that I
13 just mentioned, they actually pass decision
14 criteria during validation. So, all you have to
15 do is slightly modify your cut off to account for
16 the slight change. The only analyte that didn't
17 pass our decision criteria was C5:1. Next slide,
18 please.

19 So, of course we wanted to try our
20 method with the newborn screening specimens, so
21 we reached out to Texas Newborn Screening and we
22 asked them for some normal specimens, some

1 specimens from babies that were administered with
2 total parental nutrition, and then some -- as
3 many as they could afford confirmed specimens.

4 So, they gave us two of them, which
5 were actually very interesting specimens, because
6 they came from babies that were missed on the
7 first screen but they were actually identified on
8 the second screen based on the timings
9 measurements. As a reminder, Texas is a two-
10 screen state, so they collect the two specimens
11 per baby.

12 So, as you can see here from the
13 Texas first screen results, methionine
14 concentration for these two babies, it was in the
15 low 50s to low 60s and while the methionine
16 cutoff, the average between the US newborn
17 screening labs, it's about 74.

18 So, if you're not doing secondary
19 screening, probably your cutoffs are lower than
20 this particular tier screening results and
21 probably the babies could be missed at birth.

22 So, unfortunately, actually we would

1 have loved to -- to -- to analyze the first-
2 screening specimens, but they were not available,
3 so we will provide the second-screening specimens
4 and these figures show the results of all these
5 analyses. So, on the X axis, you can see the
6 methionine concentration at micromole Y axis
7 total homocysteine concentration, and these lines
8 represent cutoffs just for visualization. So, we
9 have methionine cutoff, which we use the average
10 of US newborn screening at birth for methionine
11 and total homocysteine cutoff, which is actually
12 the 1 percentile of homocystinuria disease and
13 the source is clear.

14 So, you can see green, it's on the
15 normal specimens on the left bottom side of the
16 figure. They're all clustered together. They
17 have low total homocysteine and low methionine.
18 You can see that TPN specimens, they have -- they
19 can have very high value for methionine. But low
20 total homocysteine. None of them passes our
21 cutoffs. Only the confirmed homocystinuria
22 specimens have really high, almost total

1 homocysteine concentrations and they were able to
2 be identified with this method.

3 So, newborn screening specimens work
4 really well with this method. So, we are pretty
5 happy with that. Next slide, please.

6 So, to sum everything up, as you
7 already heard, homocysteine, it's a more
8 clinically relevant screening biomarker for
9 homocystinuria than methionine and should be
10 included into homocystinuria screening
11 algorithms.

12 We feel that if we multiplex LPC-C26
13 with organic acid and amino acids in one assay,
14 we can generate enough specimens for daily in-
15 house use.

16 We demonstrated some proof of
17 concept, where you can actually analyze first-
18 tier and second-tier analytes by using separation
19 before analysis by tandem mass spectrometry. And
20 we feel that this would play a significant role
21 in the future, especially as more and more
22 disorders are added into the RUSP and some of

1 those biomarkers will need to be multiplexed with
2 amino acids.

3 And finally, we're able to come up
4 with an overlap assay that is multiplexed
5 homocysteine into primary flow-injection analysis
6 tandem mass spectrometry and it could, we hope,
7 streamline the use of homocysteine as a screening
8 marker for homocystinuria in a similar way that
9 succinylacetone multiplexing did for Tyrosinemia
10 type 1. Next slide, please.

11 Last, but not least, this work
12 wouldn't be possible without my colleagues at
13 CDC, especially Austin who did most of the work
14 that I'm presenting today, the analysis
15 visualization included. Matthew was the person
16 that did most of the development of the second-
17 tier screening method, and Samantha contributed
18 in several projects.

19 I would also like to thank my boss,
20 Dr. Carla Cuthbert, for allowing us to work on
21 those -- all those exciting projects and giving
22 us the resources to do so and, of course,

1 Patricia Hunt and Susan Tanksley from the Texas
2 Newborn Screening Laboratory for sharing those
3 residual newborn screening dried blood spots and
4 allowing us to validate our assays. Next slide.

5 That's it. Thank you, and I will be
6 happy to answer any questions.

7 CYNTHIA POWELL: Thank you, Drs.
8 Pasquali, Matern, and Petritis, for your
9 excellent presentations. We have time for a few
10 comments or questions. I'll take these first
11 from Committee members, then organizational
12 representatives. Please use the raised hand
13 feature in Zoom when you'd like to make comments
14 or ask a question, and please remember to unmute
15 yourself and state your first and last names.
16 Shawn McCandless.

17 SHAWN MCCANDLESS: Thank you to all
18 the speakers. That was really interesting and I
19 just want to -- I want to amplify a couple of
20 things and thank the speakers for bringing them
21 to attention and will end with a question. The
22 first is that I -- I just want to point out that

1 what Dr. Petritis alluded to, at the end, which
2 is that the situation right now with classical
3 homocystinuria in newborn screening is quite
4 similar to where we were with Tyrosinemia type 1
5 a few years ago where Dr. Matern and others
6 demonstrated that the screening method that
7 states were using was not effective and not
8 sensitive enough to screen for the disorder and
9 it required a change in the approach. And so, I
10 want to thank the speakers for pointing that out.

11 The second thing is, I would like to
12 point out that the remethylation defects,
13 particularly the ones that are not combined with
14 methyl -- increased methylmalonic acidemia
15 continue to be a very serious health care problem
16 that is -- that is really important for us to
17 address because babies continue to die from these
18 defects, and this is well documented in the
19 literature and the -- this amplifies the problem,
20 because the primary marker would be a low
21 methionine for those defects and without adding
22 the other markers that Dr. Pasquali alluded to

1 and Dr. Matern alluded to, without adding those
2 additional markers, we're really not going to be
3 successful at screening for those disorders and
4 babies will continue to die either without a
5 diagnosis or with a diagnosis that was made too
6 late. So, I really appreciate the work that all
7 of these people are doing toward that end.

8 The last thing is I want to
9 specifically thank Dr. Matern for two comments.
10 One is for pointing out that the problem with the
11 lack of a uniform newborn screening system across
12 the country, that really inhibits us from
13 achieving the promise -- the full promise of
14 newborn screening programs.

15 The second thing I would like to
16 thank you for, Dieter, is the -- the comment
17 about the problem of false positives because
18 right now, the number of false positive screens
19 in all of the tests that we're adding are really
20 limiting our ability to add new tests without --
21 without the potential harms due to false
22 positives, sinking the boat of newborn screening.

1 And you've heard me say this before,
2 but I think that it's absolutely critical that
3 people like the three speakers today continue to
4 push to improve our newborn screening methods, as
5 well as state labs and other researchers around
6 the country and world because we have to reduce
7 the number of false positives or else we're going
8 to -- we're going to really run into a roadblock
9 of adding new conditions because of the burden of
10 the -- of the increasing false positives. Right
11 now, in most newborn screening systems there's
12 ten false positives for every true positive. So,
13 for every condition we add, we're adding ten
14 times as many false positives and so that the
15 burden of the false positives eventually sinks
16 the ship, and we must address that.

17 And finally, I'll stop making
18 comments and ask a question. For Dr. Pasquali,
19 you said that -- you said that adding a second-
20 tier test can both -- it can reduce false
21 positives, but it can also reduce false
22 negatives. Can you just tell us how the addition

1 of a second-tier test reduces false negatives?

2 MARZIA PASQUALI: Yes. Thanks for
3 asking that question. And we know that, again,
4 there is noise in the newborn screening and one
5 of the solutions sometimes to decrease the noise
6 is to act on the decision limit and perhaps
7 increase the value of this decision limit, which
8 is going to increase the number of false
9 negatives. Now, if you have available second-
10 tier test, that will allow you to tease out all
11 of those that have not really -- all of those
12 infants who do not have the disease, then they
13 you can reduce your decision limits and in this
14 case, avoid the false negative as well.

15 CYNTHIA POWELL: Jennifer Kwon.

16 JENNIFER KWON: Thank you. Jennifer
17 Kwon, Committee member. I'm going to make it
18 clear that I'm not a metabolic geneticist. But I
19 am somebody who thinks about homocystinuria from
20 the child neurologist point of view. So, I
21 think, first of all, I appreciate the comments
22 about trying to reduce false positives and trying

1 to reduce the number of times we have to interact
2 with families to get new samples, et cetera, as
3 Dr. Matern brought up. This may not be an
4 appropriate question for this group of speakers,
5 and I thank you all for excellent talks, but I
6 was just trying to understand how the CDC Quality
7 Assurance Program, when they -- when they're sort
8 of testing newborn screening labs for their
9 ability to detect these conditions, what -- what
10 role might they play in helping to improve the
11 quality of homocystinuria screening? And again,
12 this may not be the best question for this group
13 of speakers, but I'm curious about your -- your
14 thoughts.

15 CYNTHIA POWELL: Any of you want to
16 take that on?

17 KOSTAS PETRITIS: That's a kind of a
18 tricky question. You know, we at CDC, we do have
19 a testing program and we do for our first-tier
20 screening, homocysteine -- first-tier screening
21 for homocystinuria and we do provide the
22 specimens that have just methionine as a marker.

1 And, you know, the limits that we set, it's, you
2 know, what has been identified for the majority
3 of the laboratories. So, we are actually in the
4 process of introducing a new program for
5 secondary screening analytes. It's going to be a
6 new proficiency testing program that will include
7 a lot of the second-tier screening of analytes,
8 including total homocysteine and, you know,
9 leucine, and all the usual suspects, and I think
10 this will help laboratories towards not only
11 doing methionine, but doing -- testing their
12 platforms for total homocysteine as well.

13 Other than that, you know, we can
14 just identify gaps that are currently in newborn
15 screening and, you know, try to come up with new
16 methods and then, if there are gaps, and then
17 train newborn screening scientists. We have an
18 annual workshop that takes place in CDC every
19 year where we train -- have some training in
20 different methods that are out there. So, this
21 will be -- these two methods that I mentioned
22 here, the second-tier screening and the first-

1 tier screening will be things that we would be a
2 teaching laboratories to perform. And, you know,
3 if there is any request, a pre-call, at least, we
4 are able to send people in the lab to help with
5 any method modification, technology transfer, or
6 anything like that, and I think my boss, Carla,
7 will have a much more comprehensive answer than I
8 gave on the subject.

9 CARLA CUTHBERT: This Carla Cuthbert
10 from CDC. I think Kostas covered -- covered most
11 of it. One of the things as well, in addition to
12 our own method development strategies internally,
13 which does again, you see that it does take some
14 effort being able to have and arrange with our
15 partnership with APHL to arrange for states to
16 come in and do -- for technology transfer, with
17 respect to our training opportunities. Again,
18 that's been suspended because of COVID. A lot of
19 things have been suspended because of COVID
20 because we don't have access to the laboratories
21 as much as we would like on the property.

22 But we also have funding

1 opportunities for the States and we put out a
2 certain number of funding opportunities for the
3 states not just to bring on new conditions, but
4 also to help improve existing conditions and that
5 is really a very significant thing as well, so we
6 really wanted to introduce that into our funding
7 opportunities.

8 So, it's the sort of thing that, you
9 know, we would like to see a dramatic change, you
10 know, in two years to have every state transition
11 to what we may consider to be an improved
12 platform or testing opportunity. But
13 unfortunately, Jennifer, I really appreciate your
14 question, but these things do take some time and
15 there are other opportunities available for
16 people to improve their activities, but we will
17 just keep trudging and moving forward as much as
18 we can. Thank you.

19 JENNIFER KWON: I appreciate that
20 and just -- just one last comment. I know there
21 are a lot of hands up there. I think that when I
22 think about a child that was diagnosed with

1 pyridoxine-responsive homocystinuria. I think
2 about what the relationship you had with the
3 Texas Newborn Screening lab and how -- how
4 important it is to save those dried blood spot
5 cards, right, because he wasn't diagnosed within
6 two years of life and without, you know, so with
7 -- without that primary data to have to go back
8 to, you wouldn't really be able to develop your
9 assays. So, I think, for me, as a citizen, this
10 is another reason to advocate for longer storage
11 of dried blood spot cards so that we can be able
12 to optimize our newborn screening. Thank you
13 very much.

14 CYNTHIA POWELL: Thank you. We'll
15 take one more question or comment from Scott
16 Shone, but first, Dieter, did you want to respond
17 to Jennifer's question?

18 DIETRICH MATERN: Yeah, thank you.
19 So, I -- the CDC does a great job in helping
20 laboratories to become technically well versed in
21 the technology. They send out blood spots and
22 they ask for results back mostly in terms of

1 quantitative data and then maybe whether it's a
2 fleck that is high or low or normal, but it's not
3 really proficiency testing in terms of sending a
4 blood spot and asking what is it.

5 So, for example, one of our
6 frustrations -- and we sometimes get it wrong --
7 because we're not allowed to use our second-tier
8 test. So, when we see a C3 that's elevated, we
9 want to do the second-tier test to figure out
10 what it is, but that's not part of the program.
11 So, I think what the CDC should do is focus a
12 little bit more on the interpretive skills of
13 these metabolic profiles.

14 And I appreciate that it's maybe
15 very difficult if you're not a trained
16 biochemical geneticist. But we're not asking to
17 be a biochemical geneticist. We are looking here
18 currently at 50-plus conditions, so I think
19 that's manageable. Also -- and I'm going to say
20 it only once -- there's CLIR out there to help
21 you and you can use it. So, there's room for
22 opportunity, and we should not just limit it on

1 single numbers but it's a profile. Thank you.

2 CYNTHIA POWELL: Thanks. Scott

3 Shone.

4 SCOTT SHONE: Yeah, I just wanted to
5 ask Dieter a quick question, you know, Shawn
6 mentioned this on SUAC and Tyrosinemia type 1,
7 and it was this Committee who put forth sort of a
8 formal, I don't remember what it was called, a
9 recommendation or acknowledgement that
10 succinylacetone was the best marker to screen for
11 Tyrosinemia type 1 and that helped, I believe, it
12 helped a lot of programs get across any barriers
13 that they may have been having internally with
14 either procurement of supplies, equipment, et
15 cetera, et cetera, to get there, you know, some
16 of those late adopters.

17 Dieter, do you think that this
18 Committee needs to consider that same pathway for
19 homocystinuria and help drive some of that
20 innovation and advancement through CDC's help? I
21 would also say, you know, APHL has their bio --
22 their newborn screening fellows, and we are a

1 state that are looking to bring on a fellow to do
2 second-tier testing for homocystinuria. So,
3 that's something that we're doing here. So, I
4 think there's a lot of pathways. But do you
5 think that we should consider that the Committee
6 or, I guess, the next Committee -- it won't
7 happen this time with me sitting here -- but for
8 that for a future?

9 DIETRICH MATERN: I think so, yes.
10 Apparently, it worked for succinylacetone and
11 succinylacetone, the problem was solved before
12 that discussion started, and I believe it started
13 in 2011 just before I joined the Committee. And
14 what I think happened after the paper was
15 published and endorsed by the by the Committee is
16 that Perkin Elmer either started or finished
17 working on adding succinylacetone to the FDA-
18 approved kit. Now, if Dr. Petritis is successful
19 and finds a way, and I'm sure that Perkin Elmer
20 is watching and listening and talking to him, it
21 may be a natural evolution.

22 But, I think that the Committee, if

1 they made a strong statement that you cannot just
2 pretend to screen for homocystinuria, but you
3 actually should do it for the benefit of the
4 babies, that makes a difference.

5 CYNTHIA POWELL: Thank you. Thank
6 you once again to all our speakers today and I'd
7 also like to thank the HCU Network of America for
8 bringing this to the Committee's attention.
9 We'll continue with HRSA and other stakeholders
10 about this, and maybe using what was done for
11 succinylacetone to have a national dialogue
12 around this marker and anyway, we look forward to
13 moving forward with this and helping to solve
14 this -- this problem.

15 Next, we will go to our public
16 comment period.

17 **PUBLIC COMMENTS**

18 CYNTHIA POWELL: As I mentioned in
19 my opening remarks that the main meeting will
20 have two public comment periods. Today, we'll
21 hear from seven members of the public who
22 registered to provide these oral comments. We

1 also received three written versions of the oral
2 testimony that we will hear today.

3 First, we'll hear from Danae Bartke.

4 SCOTT SHONE: Ma'am, you're muted.

5 DANAЕ BARTKE: There we go. That
6 would probably help if I -- you could hear me. I
7 just want to start by saying we appreciate the
8 opportunity to come before you and make comment
9 again at this event.

10 My name is Danae Bartke and I'm the
11 Executive Director of HCU Network America. At
12 the age of 10, I was diagnosed with classical
13 homocystinuria, along with my brother was 4 at
14 the time. At diagnosis, while I was
15 asymptomatic, my brother Derek had missed every
16 major milestone by an average of 6 to 18 months
17 and he still continues to feel the repercussions
18 today.

19 When his lenses dislocated, we
20 finally had the missing piece of the puzzle that
21 gave us our diagnosis. My late diagnosis meant
22 years of struggling with the current treatment of

1 the low-protein diet, which eventually led to the
2 blood clot. I'm lucky to be here and not be
3 impacted as severely as other patients and be
4 able to lead this patient organization and speak
5 to you today.

6 There are estimates in literature
7 that at least 50% of patients are missed by the
8 current approach of screening for might
9 methionine. Currently, labs have methionine
10 cutoffs ranging from 45 to 100, and even one lab
11 of 150. These estimates support by analysis of
12 medical claims data as well as the genetic
13 databases looking only at specific defects shown
14 to cause disease and both analysis would suggest
15 there are even more patients being missed. Many
16 who suffer later in life from premature stroke,
17 of blood clots, and other issues.

18 We have reached out to our patient
19 community in the US and identified 24 patients
20 across 12 states who were diagnosed within the
21 past 32 years but were missed by the newborn
22 screening that was in place at the time of their

1 birth, 16 of whom were missed within the last 10
2 years, 22 of those 24 were non-pyridoxine-
3 responsive patients, the more severe type.

4 We believe that we have only
5 scratched the surface. In our first
6 presentation, we shared with you three patient
7 stories of children who suffered blood clots with
8 one who eventually passed away. This continues
9 to be the story in our community.

10 A late diagnosis usually means
11 irreversible damage. Late-diagnosed patients
12 experience a variety of symptoms that Dr.
13 Pasquali spoke of. Unfortunately, after patients
14 experience these symptoms, there's no way to undo
15 the damage that has been caused. The only way to
16 prevent these issues is to be diagnosed early and
17 start treatment immediately. An improved
18 screening approach would give more patients a
19 better quality of life with lesser chance of risk
20 associated with the disease.

21 We know that all of you and the
22 leaders and staff of the state newborn screening

1 programs and labs wanted to detect all patients
2 at birth to give them the best chance of optimal
3 care to avoid serious clinical sequela.

4 We all believe the best long-term
5 approach is ensuring diagnosis of all HCU
6 patients is to ensure a first-tier screen of
7 total homocysteine.

8 We are thrilled to see the progress
9 and commitment the CDC has made, as you heard
10 from Kostas, and we will support other
11 researchers who may have leads on how to do so.

12 So, while the first-tier screening
13 may be coming in a few years, you heard this
14 morning, there are better solutions to detect for
15 homocystinuria that can be implemented today.

16 Some states in the US have adopted
17 these lower cutoffs and adopted a second-tier
18 screening that we have seen this has proven in a
19 much better approach. This approach was first
20 used by the Mayo group, which you have heard Dr.
21 Matern speak of. Others have picked up on
22 second-tier screening approach with or without

1 modifications, and a 2019 publication from EHAD
2 [phonetic] reiterated the importance of this
3 approach.

4 A few states in the US are already
5 taking advantage of this approach and have
6 started contract -- have contracted with Mayo or
7 other states to provide second-tier testing.
8 This approach also includes a low methionine
9 cutoff that would flag remethylation disorders,
10 which include the majority of the cobalamin
11 disorders and severe MTHFR, all which now have
12 evidence within the past year of publication for
13 early detection and treatment, which provide
14 better outcomes.

15 Since our first public comments at
16 the ACHDNC meeting in 2018, we have been meeting
17 with state newborn screening labs. We have been
18 working to learn about their current approach,
19 discuss whether a revised approach makes sense,
20 and determine whether we can help in any way to
21 bring forth a revised approach. We are pleased
22 that some labs have already started to make these

1 changes, including lowering their methionine
2 threshold and implementing a second-tier screen
3 for homocysteine.

4 We are starting to see positive
5 results. Others would like to initiate the
6 changes but don't have the resources, but are
7 hoping once the pandemic is less problematic,
8 they can figure a path forward.

9 We know this is a complex area and
10 this solution requires resources. We would urge
11 the Committee to prioritize this effort, which
12 many described during the April 2018 meeting as
13 low-hanging fruit.

14 We would suggest an endorsement by
15 the ACHDNC of a two-tiered approach that would
16 help make this the priority at a state level,
17 along with the encouragement of the ACHDNC of
18 working along to establish a first-tier screen
19 for homocysteine.

20 While it could be tempting to wait
21 for the new screen to take action, we suggest not
22 doing so that the number of patients being missed

1 each year and the uncertainty as to whether and
2 when the first-tier screening will be available.

3 In closing, the HCU community would
4 like to thank you and the Committee for hosting
5 the Newborn Screening Panel on HCU and would like
6 to ask the Committee to continue pushing the dial
7 for it and urging and assisting state to make
8 these necessary changes. Thank you.

9 CYNTHIA POWELL: Thank you. Next,
10 we'll hear from Terri Klein.

11 TERRI KLEIN: Good morning,
12 everyone. My name is Terri Klein. I'm the
13 President and CEO of the national MPS Society and
14 one of the nominators from our organization for
15 the recently approved MPS II RUSP nomination by
16 the Advisory Committee on Heritable Disorders in
17 Newborns and Children, all of you.

18 I've spent two decades advocating
19 for MPS and ML disorders, as my youngest daughter
20 was diagnosed with mucopolysaccharidosis. In 1999, we
21 were not given any hope for her having a long and
22 productive life, but those in her care were

1 mistaken. Today, she is a patient scientist
2 working in clinical trial designs for rare
3 diseases in Raleigh, North Carolina.

4 I share this story because rare
5 diseases like MPS II/Hunter Syndrome struggle for
6 awareness and can be devastating for a patient
7 and their families, but science has changed
8 everything.

9 Over the past two decades, MPS II
10 has seen incredible science and discovery.
11 Researchers, pharmaceutical companies, and
12 patient advocates have been relentless to pave
13 the way to save these boys and men from the
14 devastation of the disease. And with these
15 incredible discoveries, there has only been one
16 obstacle in the way -- screening the babies for
17 MPS II.

18 The sophistication of newborn
19 screening with the first- and second-tier testing
20 would benefit the patient community for Hunter
21 Syndrome. As we begin to unlock further the
22 implications of the testing modalities, we have

1 the capacity to change the outlook of a newborn
2 baby with MPS II that include the neuropathic
3 forms of Hunter Syndrome, as we have current
4 therapies abroad and clinical trials ongoing in
5 the United States that are addressing this very
6 issue.

7 As a leader of a 50-year
8 organization, I speak for our board of directors
9 and our team that we are ready and prepared to
10 support and educate every family that will be
11 screened for Hunters Syndrome in this country,
12 and I don't say that lightly. Our community has
13 worked diligently to ensure we have the social
14 workers on staff and advocates to guide and
15 support these families. Education, equitable
16 access to treatments, and reaching the diverse
17 population of boys with underserved cultures is
18 critical to our mission. We are already working
19 state by state to add and assist state health
20 labs with literature on Hunter Syndrome.

21 The Society supports 12 disorders
22 and families from each of these families are

1 grateful for the approval of the ACHDNC in
2 support of the nomination.

3 We are a family at the Society and
4 most of these children have grown up with one
5 another, regardless of their MPS diagnosis. The
6 joy was felt from coast to coast, as you voted
7 this past February with 11 to 1 to approve MPS II
8 for newborn screening to the Secretary of Health
9 and Human Services.

10 Newborn screening is a successful
11 program and I'm certain we will reach all the
12 hopes of the program as Dr. McCandless had just
13 shared with us a few moments ago, we can reach
14 the top.

15 The ACHDNC's Oversight for Newborn
16 Screening has guided this outcome further and now
17 with your referral to the Secretary, we will wait
18 anxiously for their signature to the Recommended
19 Uniform Screening Panel.

20 As I close my remarks, I want to
21 reiterate how thankful and grateful the National
22 MPS Society is to have worked with all the

1 professionals who helped us submit the nomination
2 and to each of you on the ACHDNC Committee. I
3 thank Mia Morrison for dedication to the peer
4 reviews and keeping us on track, to Dr. Alex
5 Kemper, who did an exemplary job of oversight and
6 the technical review, to the University of
7 Michigan team of statisticians, who were patient
8 and answering our numerous questions, and finally
9 to all of you who gave your time so graciously to
10 review the detailed reports and findings.

11 Thank you for the countless
12 teachable moments, and for your time today. I
13 speak for all the parents. We will have
14 immeasurable joy when MPS II is added to RUSP, as
15 Hunter boys of the future will have drastically
16 better outcomes and quality and longevity of
17 their lives.

18 Knowing this, you have helped create
19 medical change and medical history, and this is
20 not easy to do. So, thank you.

21 CYNTHIA POWELL: Thank you. We'll
22 next hear from Dylan Simon.

1 DYLAN SIMON: Thank you, Dr. Powell
2 and to the rest of the members of the Advisory
3 Committee for the opportunity to be here today.

4 On behalf of the over 30 million
5 Americans living with rare diseases, the
6 EveryLife Foundation for Rare Diseases is pleased
7 to offer the following comments to inform the
8 Committee's ongoing conversations with the review
9 process for RUSP nomination packages.

10 The EveryLife Foundation is a
11 nonprofit, nonpartisan organization dedicated to
12 empowering the rare disease community to
13 advocates for impactful science and legislation
14 and policy that eventually lead to the
15 development of and access to a life-saving
16 diagnosis treatments and cures.

17 The Community Congress is a forum
18 for collaboration across stakeholders,
19 representing over 200 individual rare disease
20 patient organizations in addition to over 100
21 other health care and biotechnology
22 organizations, under which Diagnostics Working

1 Group is one of the four working groups of the
2 Community Congress, which is dedicated to
3 ensuring that rare disease communities receive
4 the earliest possible access to the life-saving
5 diagnostic opportunities through newborn
6 screening and other diagnostic tools.

7 We applaud the Committee's
8 recommended addition of MPS II to the RUSP. Once
9 accepted, implementing the addition of MPS II
10 will provide approximately 38 MPS II babies born
11 in the United States the opportunity to access
12 timely life-saving early diagnosis and treatment.
13 However, we wish to know that, during the
14 Committee's review of MPS II nomination, there
15 were discussions of topics we feel were outside
16 the scope of MPS II review.

17 During the EveryLife Community
18 Congress Newborn Screening Working Group
19 following the Advisory Committee February
20 meeting, members expressed their concerns
21 directly. Comments were centered on worries that
22 some of the discussions about the community

1 strayed from the task of examining quality of
2 evidence of MPS II nomination and its of public
3 health system and instead focused on the
4 challenges presented by the current health care
5 system. While such discussions are important and
6 we are grateful for the Committee's commitment to
7 addressing these challenges, we asked the
8 committed to ensure that such important
9 discussions do not become barriers to enabling
10 new worthy conditions from being added to the
11 RUSP.

12 As a rare disease community, we
13 appreciate the Committee is working to prepare
14 for anticipated increase in RUSP nominations. As
15 the Committee navigates the increased demand, we
16 remind the Committee of the importance of
17 formally including the patient community voice in
18 the pre-process and the importance of expanding
19 your capacity.

20 As the Committee begins the
21 selection and onboarding process of new members,
22 I request that the Committee commit to seeing all

1 15-member position filled. By fully staffing the
2 Committee, discussions of nominations and review
3 in the newborn screening ecosystem will benefit
4 from the great expertise and personal experience
5 that a fully constituent Committee will provide.

6 As the Committee prepares to onboard
7 these new members, we asked for increased
8 transparency of the onboarding process. As an
9 organization dedicated to supporting patient
10 advocates, we want to ensure that the patient
11 voices are represented throughout the onboarding
12 process.

13 We also request that the Committee
14 include two clinical representatives from the
15 Technical Evidence Review Committee in the Final
16 Review Discussion to help answer questions as
17 they come up during the Committee's final
18 deliberation. The inclusion of these experts
19 will allow for key insights into the impact
20 newborn screening would have on the rare disease
21 community and will allow them to respond to any
22 specific inquiries about the conditions that may

1 arise.

2 As the Committee considers how to
3 handle the increasing number of RUSP nominations,
4 we strongly encourage the Committee to focus on
5 expanding their capacity review of these
6 conditions, as opposed to focusing on to
7 prioritize nominated conditions. We worry that
8 focusing on prioritization of conditions can
9 limit the RUSP and close it off to other worthy
10 rare diseases.

11 The Committee has stated that they
12 are capable of supporting only two evidence
13 reviews per year. We understand that while the
14 Committee may have limited ability at the moment
15 to increase that number, we also ask that you
16 provide increased transparency concerning the
17 docket of pending nominations. We recommend that
18 transparency include a brief synopsis of each
19 meeting of all pending nominations with
20 respective dates and where they are in the
21 process, to include the dates and other relevant
22 information regarding submission to HRSA,

1 assignment to the Nomination Prioritization
2 Working Group, those undergoing the Evidence
3 Review Group review, and those included with the
4 Evidence Review Discussion and vote. Patient
5 organizations prepare for many years building
6 evidence and developing the nomination package.
7 It requires clear timetables of when the RUSP
8 review could potentially take place after
9 submission.

10 We are thankful over the last few
11 meetings that the Committee has highlighted
12 challenges associated on newborn screening
13 outside the box. Presentations discussing
14 various workforce uses have helped to highlight
15 how many professionals are connected to the
16 newborn screening.

17 As more treatments for rare diseases
18 are developed, the newborn screening will
19 continue to look at ways to address these current
20 challenges. However, we request that these need
21 to occur outside the RUSP Nomination Review
22 processes and continue to be a separate activity

1 of the Advisory Committee.

2 We appreciate the Committee's
3 dedication to meeting our increasing demands on
4 the nation's newborn screening program and we are
5 especially grateful for your unwavering
6 dedication to a rare disease patient communities.

7 The EveryLife Foundation and
8 membership of our Community Congress Working
9 Group stand ready to support your work and we
10 look forward to engaging with you in the coming
11 months. Thank you so much.

12 CYNTHIA POWELL: Thank you. Dean
13 Suhr.

14 DEAN SUHR: Yes, good morning, and
15 thank you for the time and the opportunity to
16 speak.

17 As always, we want to remind you of
18 our appreciation of and thanks for the important
19 hard and impactful work of this Committee and the
20 Evidence-Based Review Group, and we'd like to
21 offer a special thanks to Chairman -- Chairperson
22 Powell and Dr. Shone for your service.

1 Four million babies a year are
2 directly impacted, with some 13,000 babies
3 identified each year through newborn screening.
4 Yet, as we all know, there are many other
5 disorders that could be identified at birth or
6 during childhood.

7 Just like current screen disorders,
8 screening for all new disorders will save and
9 improve the lives of thousands of additional
10 babies. MLD is one of those disorders. MLD
11 newborn screening is a pilot study in the US and
12 abroad. In the US, MLD patients are already
13 being treated with the gene therapy that was
14 approved in the EU by the European Commission in
15 December 2020, about 18 months ago, and it's on
16 the path to a formal US review and approval. We
17 hope to submit an MLD RUSP nomination for your
18 review in the near future. But, that's not the
19 topic of my comments today.

20 Empowering and increasing the
21 operational capabilities, impacts,
22 sustainability, and continuous improvement of the

1 Committee are key needs and areas that advocacy
2 is actively interested in and actively
3 supporting.

4 These external efforts are carried
5 on in many ways, through individual and umbrella
6 organizations at the state and federal levels,
7 including not only in public health, but also in
8 awareness, education, family support, research,
9 therapy development, therapy access, and
10 reimbursement, and legislative policy and
11 development and implementation, as well as
12 appropriations in support of that policy. All of
13 this resulting in improvements in quality of life
14 for newborns and their families.

15 My comments today focus on the
16 nomination of the EveryLife Foundation for Rare
17 Diseases to be an organizational representative.
18 I previously provided the Committee with a formal
19 written letter of support and will not reread it
20 here, but I did want to highlight some of its
21 content.

22 The EveryLife Foundation meets the

1 organizational representative requirements. They
2 have wide-ranging newborn screening and heritable
3 disorders interest in activities. They're
4 already actively informing the Committee -- we
5 just heard from Dylan -- through their Community
6 Congress's Newborn Screening Working Group, they
7 represent dozens, if not hundreds of
8 organizations and disorders, as they form
9 recommendations and develop programs and
10 activities in support a newborn screening.

11 In newborn screening specifically,
12 with the Newborn Screening Saves Lives
13 Reauthorization, they not only supported the
14 2011, 2014, and 2019 reauthorization, which, as
15 we know now is still pending a 2022
16 reauthorization. But they're working to expand
17 the content of the bill to be able to support
18 expansion of Committees, the Committee's impact
19 and capabilities, with budget to improve your
20 operational capacity and state support
21 activities.

22 They are very active in RUSP

1 Alignment. This is legislation that ties your
2 Recommended Uniform Screening Panel to activities
3 at the state levels to either review or implement
4 screens that are approved by this Committee.
5 They started in 2017 with RUSP Alignment in
6 California and were quickly followed by Florida,
7 and there are now at least five states with
8 formal RUSP Alignment legislation and 20 states
9 this year during their 2022 sessions that
10 introduced RUSP Alignment bills.

11 Their Community Congress Newborn
12 Screening Working Group is focused on helping the
13 community to be more informed, educated,
14 organized, and impactful.

15 More broadly, the EveryLife
16 Foundation works on awareness, novel and
17 efficient research approaches, empowering and
18 educating advocacy to impact clinical trials and
19 regulatory and reimbursement approvals to
20 actively develop innovative and new policies
21 resulting in legislation and appropriations in
22 support of these efforts.

1 They will bring all of this
2 experience and effort to newborn screening so
3 that you can continue, so that you will benefit
4 from these parallel offers.

5 In support of all these efforts,
6 they recently completed a National Economic
7 Burden of Rare Disease Study, formally
8 identifying and publishing a trillion dollars of
9 annual direct and indirect rare disease costs.
10 These sorts of efforts help to quantify the
11 impact of timely diagnostics and therapeutic
12 access and other aspects of the Committee's work
13 and recommendation.

14 In closing, I strongly suggest that
15 the Committee consider the EveryLife Foundation
16 as an ideal organizational representative to not
17 only inform the Committee, but also to magnify
18 the impact of your work.

19 Thank you for your work, thank you.

20 CYNTHIA POWELL: Thank you. We'll
21 next hear from Kim Stephens.

22 KIM STEPHENS: Thank you, Dr.

1 Powell. And thank you for providing me with this
2 opportunity to offer comments to this Committee
3 today.

4 My name is Kim Stephens, and I'm
5 President of Project Alive, which is an MPS
6 Research and Advocacy Organization. I'm also the
7 mother of a boy with MPS II.

8 But today, I'm speaking on behalf of
9 the 30 million Americans living with a rare
10 disease and as co-chair of the EveryLife
11 Foundation's Newborn Screening and Diagnostics
12 Working Group.

13 As we've heard before, the EveryLife
14 Foundation is a nonprofit, nonpartisan
15 organization dedicated to empowering the rare
16 disease patient community, to advocate for
17 impactful, science-driven legislation and policy
18 that advances the equitable development of an
19 access to life-saving diagnoses, treatments, and
20 cures.

21 Over the past year, the Advisory
22 Committee has reviewed and updated its processes

1 including efforts focused on ensuring patient
2 advocacy organizations have a better
3 understanding of the RUSP nomination process and
4 the role that patient advocacy organizations play
5 in newborn screening and RUSP nominations.

6 As the Advisory Committee and HRSA
7 begin to review the nominations for the two open
8 Committee positions, we urge the Advisory
9 Committee to include a patient advocacy
10 organization representative as one of these two
11 members to be appointed, ensuring that this
12 constituency is represented on the Committee, as
13 it has been in the past.

14 An appropriately qualified patient
15 advocate is an expert on their rare disease and
16 they can provide insight on the impact newborn
17 screening can have on the rare disease community.

18 Patient representatives can lend
19 their experience, which often includes being a
20 patient, a parent, a caregiver, a scientist, a
21 policy expert to the nomination review process,
22 providing a distinct understanding of the

1 significance of early diagnosis and treatment.

2 Patient representatives lend insight
3 into how families juggle the cost of treatment
4 and how patient communities, and providers can
5 support families diagnosed through newborn
6 screening.

7 It is essential that the Committee
8 once again incorporate this perspective into the
9 work that they do both during the RUSP nomination
10 process and their work outside of the RUSP.

11 Continued inclusion of a patient
12 advocacy organization representative as a
13 Committee member can also build trust and
14 understanding in the Committee has worked to
15 foster with these organizations. It can signal
16 to the patient advocacy community that our voice
17 remains important. It's not only the RUSP review
18 process, but in discussions about how to improve
19 the newborn screening system and prepare it for
20 the influx of new disorders.

21 Including the advocate's voice
22 builds diversity inclusion on the Committee and

1 encourages further discussion and input by these
2 patient advocates.

3 The inclusion of a patient advocate
4 can help to alleviate fears that problems outside
5 of issues specific to a disease nomination may
6 prevent a disorder from being added to the RUSP
7 or that in the absence of an authentic advocate
8 voice, non-patient advocates speak erroneously on
9 the advocate perspective during Committee
10 deliberations.

11 Patient advocacy organizations are a
12 vital piece of the newborn screening system and
13 must have meaningful input on Committee decisions
14 that have the power to affect the entire newborn
15 screening ecosystem.

16 Representatives from patient
17 advocacy organizations come from diverse
18 backgrounds and they can bring their own set of
19 expertise to the Committee.

20 Patient representatives can serve as
21 a bridge between the patient advocacy community
22 and the Committee, fostering more buy in and

1 support from even the most skeptical patient
2 advocates.

3 Like the Committee, advocacy
4 organizations want to ensure that we build a
5 strong newborn screening system that can provide
6 life-saving diagnosis to newborns and that could
7 withstand the many challenging challenges that it
8 faces now and in the future.

9 As the Committee and HRSA consider
10 adding a patient advocacy organization
11 representative, we encourage you to define what
12 is meant when you consider a patient advocacy
13 organization. The National Health Council set
14 standards for patient organizations interested in
15 becoming members of the Council and we ask the
16 Committee to consider these standards when
17 defining a patient advocacy organization.

18 These standards require
19 organizations to be engaged in research,
20 professional education, public education, and
21 health promotion, health services, community
22 services, advocacy, or social action. So, when

1 considering a patient advocacy organization
2 representative, we encourage the Committee to
3 define patient advocacy organization as an
4 organization engaged in one or more of these
5 areas.

6 We also ask the Committee to follow
7 National Health Council standards and define a
8 patient advocacy organization as an organization
9 that has been active in the space for no less
10 than three years.

11 We are grateful for the Committee's
12 previous inclusion of a patient advocate as a
13 Committee member and for all the work that is
14 occurring within the newborn screening space and
15 for all the updates the Committee is making to
16 the RUSP nomination process.

17 We are committed to working with the
18 Committee to incorporate the patient voice more
19 thoroughly by including a patient advocacy
20 organization representative on the Committee.

21 On behalf of the EveryLife
22 Foundation for Rare Diseases, Community Congress

1 Newborn Screening and Diagnostics Working Group,
2 thank you for your time and consideration.

3 CYNTHIA POWELL: Thank you. And
4 finally, we'll hear from Kim Tuminello, followed
5 by Heidi Wallis regarding GAMT deficiency.

6 KIM TUMINELLO: Good morning. My
7 name is Kim Tuminello and I am the Director of
8 Advocacy for Association for Creatine
9 Deficiencies and co-founder, and I am also a
10 mother of two GAMT children, one that was
11 diagnosed at 10 months and a younger sibling that
12 was diagnosed in utero and treated since birth,
13 and I can tell you as a mom, that they've had two
14 very different lives and they will continue to
15 have very different lives.

16 I just want to take a quick moment
17 and thank this Advisory Committee for their
18 service to Newborn Screening Program and I want
19 to thank the Evidence Review Board for taking
20 this past nine months to review GAMT in depth. I
21 know it's been a journey for all of us. I'm
22 confident that GAMT has once again proven itself

1 to be the no brainer of newborn screening. It's
2 easily detectable with its elevated
3 guanidinoacetate and almost non-existent false
4 positive rate which, as this company discussed
5 earlier, is extremely important. It's an
6 incredibly easy treatment that could literally be
7 ordered online and safe and, most importantly, an
8 effective treatment.

9 It's been six years since we started
10 this journey, almost to the day, of nominating
11 GAMT for the first time, and we were given the
12 word that we needed to find a baby during a
13 newborn screen and I'd like to thank New York and
14 Utah for both taking on that challenge and
15 screening and as luck would have it, Murphy's
16 Law, we found those babies within a certain quick
17 amount of time, close to each other, which I
18 think is really exciting and I think it proves
19 the point that there is a need for the universal
20 screening of GAMT. Those babies have a really
21 bright future, which is incredibly exciting, and
22 as a parent and a community member, I'm really

1 excited for today. I'm excited for the vote and
2 I'm excited to see the Secretary sign GAMT into
3 newborn screening and, again, I just really want
4 to say thank you to all of you for your work.

5 HEIDI WALLIS: Thank you, Kim, that
6 was great. So, my name is Heidi Wallis. I'm the
7 Executive Director for the Association for
8 Creatine Deficiencies. You all are probably
9 tired of seeing my face and hearing from me. So,
10 thank you for your time today and over the past
11 six years. Thank you also to the Evidence Review
12 Committee and for your inclusion of myself and my
13 participation in the process on the Technical
14 Expert Panel. It was very well thought out and I
15 have -- I have lots of faith in Dr. Kemper's
16 report and all the work that was done. So, thank
17 you very much for that.

18 And, you know, looking at what does
19 it take to add a disorder to the RUSP, what are
20 the requirements? I believe that the report and
21 all the work done is going to answer a lot of
22 questions. So, I thought that I would just take

1 a quick moment and take you on a little live
2 research field trip and introduce you to my two
3 children. This is, you know, throwback to the
4 old days when we used to get together in person
5 for this meeting and I, full disclosure, I have
6 not talked to either of them about the questions
7 that I will be asking, and I just thought that
8 this would be an appropriate way for you to get
9 to know them.

10 So, can you tell everyone what your
11 name is?

12 SAMANTHA WALLIS: My name is
13 Samantha Wallis.

14 HEIDI WALLIS: And how old are you?

15 SAMANTHA WALLIS: 18 years old.

16 HEIDI WALLIS: 18, that's right.

17 Okay. And what grade are you in at school?

18 SAMANTHA WALLIS: I'm nn -- at
19 school.

20 HEIDI WALLIS: What grade? What
21 grade? Can you tell them what grade you're in?

22 SAMANTHA WALLIS: 8th grade.

1 HEIDI WALLIS: You're in 12th grade,
2 silly.

3 SAMANTHA WALLIS: 18.

4 HEIDI WALLIS: Okay. Let's ask you
5 another question. Why do you take creatine?

6 SAMANTHA WALLIS: Because it's
7 important.

8 HEIDI WALLIS: It's important?
9 That's very good. Thank you. And have you had a
10 seizure?

11 SAMANTHA WALLIS: No.

12 HEIDI WALLIS: No? Not today,
13 right?

14 SAMANTHA WALLIS: Not today.

15 HEIDI WALLIS: How about, what's
16 your favorite sport?

17 SAMANTHA WALLIS: Tennis ball is.

18 HEIDI WALLIS: Do you like to play
19 soccer?

20 SAMANTHA WALLIS: Yeah, like to play
21 soccer.

22 HEIDI WALLIS: Okay. You did great.

1 Can you tell everybody good bye?

2 SAMANTHA WALLIS: Good bye.

3 HEIDI WALLIS: Thank you. Louie,
4 it's your turn. Hurry, hurry. Okay, come sit
5 down. Okay. Can you tell everyone what your
6 name is, please?

7 LOUIE WALLIS: Louie Wallis.

8 HEIDI WALLIS: Okay. And how old are
9 you?

10 LOUIE WALLIS: 10 years old.

11 HEIDI WALLIS: What grade are you
12 in?

13 LOUIE WALLIS: Fourth grade.

14 HEIDI WALLIS: Fourth grade? Yeah.
15 Okay. Why do you take creatine?

16 LOUIE WALLIS: If I don't, I'll die.

17 HEIDI WALLIS: Well, you might not
18 die, but --

19 LOUIE WALLIS: Because I need it.

20 HEIDI WALLIS: Because you need it,
21 that's true. Have you had a seizure?

22 LOUIE WALLIS: No.

1 HEIDI WALLIS: Has Sam had a
2 seizure?

3 LOUIE WALLIS: Yes.

4 HEIDI WALLIS: Quite a few. What's
5 your favorite sport?

6 LOUIE WALLIS: Hockey.

7 HEIDI WALLIS: Hockey, good -- good
8 answer. Okay, you're done. Thank you.

9 Okay. Thank you. So, background on
10 my children. Sam was diagnosed at five and has
11 been on treatment for 13 years now. She did show
12 some good improvement and developed some speech
13 after diagnosis, but she's plateaued at about
14 first-grade level and Louie was diagnosed shortly
15 after birth. So, I just -- I'm really excited
16 for today and I hope that we have good news to
17 share with our community. I hope that, you know,
18 our vision for the future is that these new
19 families that join our support group will be
20 joining from a newborn screening and we'll have
21 good news for them. I've had eight new families
22 this year so far in 2022 join our group and one

1 of them was diagnosed through newborn screening
2 and the other seven, we had to, you know, share
3 the news that it's not always a great recovery
4 and there's a spectrum, but we're optimistic for
5 the future. So, thank you so much.

6 CYNTHIA POWELL: Thank you very much
7 and thank you to all of our public commenters
8 today.

9 I will now move on to the next
10 section of our meeting regarding newborn
11 screening for guanidinoacetate methyltransferase
12 or GAMT deficiency.

13 At the 2021 meeting in August, the
14 Committee voted to move GAMT deficiency to a full
15 Evidence-Based review. We received updates on
16 the Evidence-Based review at the November 2021
17 and February 2022 meetings. Later this
18 afternoon, the Committee is scheduled to vote on
19 whether or not to recommend GAMT deficiency for
20 inclusion on the RUSP. However, first, the
21 Committee will hear three presentations from
22 members of the External Evidence-Based review

1 Group on the Evidence-Based review for GAMT
2 deficiency.

3 After the ERG presentations, Dr.
4 Jane DeLuca and Dr. Shawn McCandless will give
5 the Committee Report on GAMT deficiency, followed
6 by discussion and a Committee vote.

7 Committee members, while you
8 consider the evidence presented today, use the
9 decision matrix as a deliberation tool. For
10 reference, the decision matrix and the decision
11 matrix guidance were included in the briefing
12 book.

13 First, assess the magnitude of net
14 benefit and then the certainty about the
15 evidence. Next, readiness and feasibility from a
16 state public health program perspective are
17 assessed.

18 Now, I'd like to introduce the
19 members of the ERG who will present to the
20 Committee today, starting with Dr. Alex Kemper,
21 ERG Lead. Dr. Kemper is Division Chief of
22 Primary Care Pediatrics at Nationwide Children's

1 Hospital and Professor of Pediatrics at the Ohio
2 State University.

3 Dr. Kemper completed his pediatric
4 residency training at Duke University, followed
5 by combined fellowship training in health
6 services research and medical informatics with
7 residency training in preventive medicine at the
8 University of North Carolina.

9 Dr. Kemper's research focuses on the
10 delivery of preventive care services, including
11 newborn screening. Since 2013, he has also
12 served as Deputy Editor of *Pediatrics*.

13 We'll then hear from Lisa Prosser.
14 Dr. Prosser is Maryland Fisher Blanche Research
15 Professor of Pediatrics and Director of the Susan
16 B. Meister Child Health Evaluation and Research
17 Center at the University of Michigan.

18 Dr. Prosser also holds an adjunct
19 faculty appointment at the Harvard School of
20 Public Health.

21 Her research focuses on measuring
22 the value of childhood health interventions using

1 methods of decision sciences and economics. Her
2 current research interests include newborn
3 screening programs, vaccination programs, and
4 methods for valuing family spillover effects of
5 illness.

6 Finally, we'll hear from Jelili
7 Ojodu, who is the Director for Newborn Screening
8 and the Genetics Program at the Association of
9 Public Health Laboratories. He is also the
10 Project Director for the Newborn Screening
11 Technical Assistance and Evaluation Programs.

12 Mr. Ojodu is responsible for
13 providing guidance and direction for the Newborn
14 Screening and Genetics and Public Health Program
15 at APHL.

16 He received his Master's in Public
17 Health from the George Washington University and
18 a Bachelor of Science degree in Biological
19 Sciences from the University of Maryland, College
20 Park.

21 I will now turn it over to Dr.
22 Kemper.

1 right questions and appropriately evaluating the
2 evidence in a full manner. The Technical Expert
3 Panel also included individuals who submitted the
4 initial nomination form. And so, I'd really just
5 once again like to thank them all for their hard
6 work in helping us put this together. Next
7 slide, please.

8 So, I'm going to begin first, as I
9 always do, by describing the disease course in
10 epidemiology of the condition here, GAMT
11 deficiency. Next slide, please.

12 This is an overview of the metabolic
13 pathway leading to creatine development and then
14 creatine uptake in the brain, where it's used as
15 an energy source, which is really a critical
16 function. And what I'd like to highlight -- next
17 slide or next click, there is -- is where the
18 enzyme deficiency is. So, I've circled in here
19 the GAMT enzyme, which is missing or not as
20 functional in GAMT deficiency -- next click,
21 please -- which ultimately leads to the lack of
22 synthesis of creatine and low creatine in the

1 brain -- next slide, please -- and is associated
2 with elevations in guanidinoacetate.

3 As I go through this slide, I'm
4 going to remind everyone once again, I abbreviate
5 guanidinoacetate as GUAC, G-U-A-C. It's also
6 sometimes abbreviated GAA in the literature.
7 Just to avoid any confusion, I'm going to just
8 refer to it as guanidinoacetate as I go through
9 the presentation. Next slide, please.

10 So, in terms of the disease course,
11 first of all, it's important to recognize that
12 the fetus is protected from GAMT deficiency
13 because of active transport of creatine.
14 However, after birth, there is progressive
15 neurological impairment. But it's typically not
16 apparent until at least three months of age and
17 often longer, as you'll see in a little bit.

18 Untreated GAMT deficiency is
19 associated with significant intellectual
20 disability, limited speech development, recurrent
21 seizures, behavioral problems, weaknesses --
22 weakness, and movement disorders.

1 GAMT deficiency in and of itself is
2 not associated with an increased risk of
3 mortality; however, some of the comorbid
4 conditions, for example, epilepsy, certainly can
5 be associated with an increased risk of
6 mortality. Next slide, please.

7 In terms of the pathophysiology, I
8 showed you before, how low creatine emerges
9 because of the deficiency of the GAMT enzyme, and
10 that's what leads to intellectual disability.
11 The guanidinoacetate accumulation is what's
12 thought to lead to the epilepsy and the movement
13 disorders, that are associated with GAMT
14 deficiency.

15 In terms of identifying GAMT
16 deficiency and following it over time, the
17 biomarkers associated with creatine and
18 guanidinoacetate, as I've mentioned, and it's
19 also possible to use MR Spectroscopy.

20 I'm not going to be talking much
21 about MR Spectroscopy, but I do think it's
22 important to recognize that that's a way to

1 identify, for example, the low creatine in the
2 brain. Next slide, please.

3 In terms of the genetics, it's an
4 autosomal recessive disorder and there are more
5 than 50 variants that have been described. There
6 are a number of gene frequency studies that have
7 been done. For example, there was one study that
8 was based on multiple gene databases that were
9 combined and based again on gene frequency alone,
10 it was estimated to be about 0.04 cases per
11 100,000 when you sort of extrapolate based on
12 that. But those combined gene databases can
13 sometimes be biased, especially if they include,
14 for example, mostly older individuals. There was
15 one study looking at gene frequency based in
16 dried blood spots in the Netherlands that
17 estimated a frequency of GAMT deficiency to be
18 0.4 per 100,000, equivalent to 1 in 250,000.
19 Again, I've mentioned before that the issue of
20 generalized ability of genetic databases is a
21 potential limitation.

22 The other thing is that not all

1 pathogenic alleles might have been characterized
2 and that would lead to an underestimate of the
3 frequency. Next slide, please.

4 But working with the Technical
5 Expert Panel, we suggested a baseline estimate
6 for GAMT deficiency is 0.4 per 100,000, again,
7 equivalent to 1 per 250,000. This estimate comes
8 from that dried blood spot study that I showed
9 you in the Netherlands, as well as a separate
10 study that identified 5 cases in Utah over about
11 a 10-year period that had an estimate of 0.88 per
12 100,000.

13 Again, determining prevalence can be
14 -- can be challenging and these can diverge from
15 newborn screening based on how cases are
16 detected. And then, the other thing to remember
17 when evaluating rare conditions is that small
18 numbers can lead to heterogeneity in estimates
19 and then the other thing is that there may be
20 differences in the prevalence based by geographic
21 area. For example, there's a founder effect,
22 that kind of thing. Next slide, please.

1 In terms of when GAMT deficiency is
2 identified clinically, there's really a wide
3 range. So, for example, there was one study that
4 suggested a median age of about 12 years with a
5 very wide range to 29 years. Identifying the
6 rare conditions and getting to diagnosis is
7 challenging and I highlight here one study, which
8 was a study of nearly 6,400 subjects with
9 unexplained neurologic symptoms and found 7
10 cases, of whom 6 had signs before 2 years of age.
11 So, this is a research that was specifically
12 interested in GAMT deficiency and went and
13 evaluated in that population.

14 So, again, when you think about
15 clinical identification, there's this broad range
16 of when individuals come to identification and
17 certainly there's the risk that that some even
18 with symptoms may not come to diagnosis. Next
19 slide, please.

20 There is a registry, the Association
21 for Creatine Deficiencies, that has put together
22 a registry called Creatine Info, which is hosted

1 by the National Organization for Rare Disorders
2 or NORD. It was fairly recent when it was
3 developed in March of 2021. It's growing as Ms.
4 Wallis mentioned just a little bit ago and so
5 again, it's still in development and there are no
6 published reports out of it, yet, but I
7 anticipate that much will come from the registry
8 in terms of helping us understand issues related
9 to natural history outcome, and so forth. Next
10 slide, please.

11 So, now I'd like to dig into issues
12 of screening and diagnosis. Next slide, please.

13 So, screening for GAMT deficiency is
14 fundamentally based on tandem mass spec for
15 guanidinoacetate and creatine. The diagnosis can
16 be established based on low creatine levels and
17 elevated guanidinoacetate in plasma, you know, a
18 little bit after birth. The molecular analysis,
19 the DNA assessment, can be supportive and the
20 Technical Expert Panel really pointed out how
21 helpful having this information is especially,
22 you know, if there's any uncertainty regarding

1 the degree of elevation of guanidinoacetate.

2 Next slide, please.

3 So, I'm going to dive next into
4 programs that have been doing screening or
5 actively involved in screening. The first that
6 I'd like to discuss is Utah. Utah's a two-screen
7 state, each infant is screened twice. Screening
8 for guanidinoacetate and GAMT deficiency began in
9 June of 2015. They use a laboratory-developed
10 test. Between 2015 and 2019, screening in Utah
11 was through ARUP, the Associated Regional and
12 University Pathologists in Utah and it involved a
13 two-tier process. First-tier tests for
14 guanidinoacetate and creatine using tandem mass
15 spec in a derivatized assay followed by liquid
16 chromatography tandem mass spec for
17 guanidinoacetate and creatine.

18 In 2019, the newborn screening
19 program was brought into the Public Health
20 Laboratory. They still use laboratory-developed
21 tests for their screening, and they are doing
22 everything now through just a one-tier screen

1 with guanidinoacetate and creatine by flow-
2 injected tandem mass spec and their test is now
3 non-derivatized. Next slide, please.

4 So, what we've done here is broken
5 out the two periods, 2015 through 2019, where the
6 derivatived method was used, and you can see that
7 there is close to 200,000 newborns screened with
8 365 positive first-tier screens and 2, which were
9 ultimately sent for diagnostic evaluation after
10 the second-tier screen, which is about 1 referral
11 per 100,000. There were no cases diagnosed
12 during this time between 2019 and 2021. There
13 were about 126,000 newborns who were screened,
14 with 2 positive first-tier screens ultimately
15 leading to 1 case that was identified and during
16 that period, that's equivalent to 0.79 cases per
17 100,000. But what I did was I combined the full
18 period because I think it gives better insight
19 into the numbers, so that if you combine these
20 two periods and recognize that the methods were
21 different, the referral rate was about 0.9 per
22 100,000 newborn screenings or 1 per 107,102.

1 I've listed the numbers both ways, because I know
2 people like one or the other.

3 And then in GAMT deficiency, there
4 was overall 0.31 cases per 100,000 newborns
5 screened or about 1 case per 321,000 newborns
6 screened. Next slide, please.

7 So, now I'd like to talk about the
8 New York Screening Program. They've been
9 screening for GAMT deficiency since October 2018.
10 Like Utah, they use a laboratory-developed test.
11 They initially also had a two-tiered screening
12 test with guanidinoacetate and creatine by flow-
13 injected tandem mass spec, followed by
14 guanidinoacetate by liquid chromatography tandem
15 mass spec. Their second-tier screening test was
16 discontinued in 2021 and New York does, as a
17 helpful benefit during the process, so specialty
18 referral, sequence the gene. They provide the
19 molecular diagnosis as part of the referral
20 process. Next slide, please.

21 So, in 2021, so this is just a
22 snapshot of 2021, they screened a little over

1 211,000 newborns. There are 82 positive first-
2 tier screenings, of which 5 were referred
3 immediately for diagnostic evaluation. There
4 were 77 of those 82 where there was a request for
5 a repeat test. Importantly, 76 of them were in
6 the neonatal intensive care unit. Of those, 1
7 was referred, 4 died for reasons that were not
8 thought to be related to GAMT deficiency, and
9 there were 2 pending, but had an initial negative
10 screen, so, unlikely to have GAMT deficiency.

11 So, if you look across the 6
12 referrals, there was 1 infant who was ultimately
13 diagnosed with GAMT deficiency. There was 1
14 infant who was diagnosed with arginase
15 deficiency, 2 were normal, and 2 died for reasons
16 that were not thought to be related to GAMT
17 deficiency before diagnostic evaluation could be
18 completed.

19 So, if you look across all of 2021,
20 the referral rate for diagnostic evaluation was
21 2.8 per 100,000 newborn screenings or about 1 per
22 35,000 or so, and then GAMT deficiency was

1 identified in 0.47 per 100,000 newborn screenings
2 or about 1 per 212,000. Next slide, please.

3 Now, what I'd like to do is
4 summarize the entire experience. So, if you look
5 at October 2018 through April 2022, there were
6 759,246 newborns who were screened. Ultimately,
7 this led to 24 referrals for diagnostic
8 evaluation, which is the equivalent to 3.2 per
9 100,000 newborns screened or about 1 in 31,635. Out
10 of that full complemented newborn screen, there
11 was one case of GAMT deficiency that was
12 diagnosed, which is the equivalent of 0.13 cases
13 per 100,000 newborns screened or about 1 case for
14 759,246. Next slide, please.

15 Now, I do want to highlight that
16 there's other GAMT deficiency newborn screening
17 activities that are going on. So, in Michigan,
18 GAMT deficiency newborn screening was approved
19 towards the end of 2018. They are going through
20 the validation process and full population
21 screening has not yet started. When Mr. Jelili
22 talks about Public Health System Impact

1 Assessment, he's going to dig through this issue
2 in particular. Next slide, please.

3 Screening also is ongoing outside of
4 the United States. So, British Columbia began
5 screening in September 2012. They use a three-
6 tier assay. So, the first tier is
7 guanidinoacetate with tandem mass spec. Then, a
8 second-tier test using guanidinoacetate with
9 liquid chromatography tandem mass spec, and then
10 a third tier, which is targeted gene sequencing.
11 So, referral for diagnostic evaluation is based
12 on all three of those things.

13 From September 2012 to April 2022,
14 there were a little over 428,000 specimens that
15 were evaluated, 0.3% had a positive first-tier
16 assay. There were 28 with a positive second-tier
17 assay, and then 3 with a positive third-tier
18 assay and who were referred, which is the
19 equivalent of 0.7 per 100,000 newborns or about 1
20 per 142,713.

21 They have not yet identified
22 newborns with GAMT deficiency. Next slide,

1 please.

2 In Ontario, GAMT deficiency newborn
3 screening was recently approved. They are going
4 through the validation and of the planning
5 processes and they plan to start with the
6 screening in the summer of 2022. Next slide.

7 Australia, in the state of Victoria,
8 has been screening for perhaps the longest period
9 of time. They began screening in April of 2002.
10 They use a derivatized method with tandem mass
11 spec. So, there have been overall about 1.4
12 million newborns who were screened, and they've
13 identified 1 likely case.

14 Now, I spoke to Dr. Pitt, who
15 oversees the newborn screening program in
16 Australia, and it really does seem that this
17 individual, this newborn, does have GAMT
18 deficiency, but he just wanted to be very
19 cautious and so the full molecular analysis was
20 completed. This case was recently identified and
21 so he just wants to caution that there's a small
22 chance that it isn't. But for all intent and

1 purposes, it really does seem to be an affected
2 individual.

3 So, if you look at it in an annual
4 basis, they screen about 80,000 newborns for GAMT
5 deficiency each year. There's about 20 that have
6 a second-tier test, which is really re-looking at
7 the guanidinoacetate level that they see on the
8 tandem mass spec. There have been 3 that have
9 had a repeat sample that's been requested and on
10 an annual basis, there are only about 0.3 infants
11 that have been -- that are referred. So
12 basically, you know, like 1 baby every few years
13 gets referred for diagnostic evaluation. Next
14 slide, please.

15 So, what I've done on this slide is
16 summarize the data that we have by newborn
17 screening program, broken up by time period, the
18 number of newborns that were screened, the number
19 of newborns that were identified as having GAMT
20 deficiency, the diagnostic referral rate, and the
21 case detection rate, and because of the way that
22 the data were available, you can see, for

1 example, in Utah, we have the screening when it
2 was still being done by ARUP and screening when
3 it moved in-house and was a derivatized approach,
4 and then you can see the purple rows represent
5 the summary data.

6 So, we have, you know, two rows for
7 Utah, two rows for New York, and then I have the
8 summary value in purple for New York, the summary
9 values in purple for British Columbia, and then
10 the summary values for Victoria also in purple.
11 I'd ask you to take a look at those rows and it
12 really just repeats what I said.

13 I think that the key point that I'd
14 like to make on this slide is that the bottom two
15 green lines which are pooled data. First, what
16 we did was we pulled the screening data from the
17 US, which covers a period of 2015 to 2022, over
18 which time there were 1.08 million newborns that
19 were screened, leading to the diagnosis of 2
20 infants with GAMT deficiency. This is a
21 diagnostic -- this was accompanied by a
22 diagnostic referral rate of about 2.6 infants per

1 100,000 and a case detection rate of 0.19 per
2 100,000.

3 The last row is the pooled data for
4 the US and outside of the US. I did that because
5 I didn't want the findings from Victoria to swamp
6 the data that we have from the United States.
7 But if you add in Victoria, there been nearly 3
8 million newborns that have been screened with 3
9 cases of GAMT deficiency, a diagnostic referral
10 rate of a little over 1 per 100,000, and a case
11 detection rate of 0.1 per 100,000.

12 So, I hope this slide sort of gives
13 you a sense of the magnitude of referrals and the
14 range of case detection through newborn
15 screening.

16 When Dr. Prosser goes over the
17 modeling, you can see how that plays out in a
18 different way, which hopefully will be helpful as
19 you make your decision. Next slide, please.

20 So, in terms of -- I want to take a
21 step back and summarize the screening data. So,
22 first of all, high-throughput tandem mass spec

1 screening has been incorporated into two state
2 newborn screening programs, both of which were
3 done as a laboratory-developed test.

4 The diagnostic referral rate is low
5 compared to other conditions and, as I mentioned
6 before, that there are 3 cases that have been
7 identified through newborn screening, Utah and
8 New York, and I explained earlier where I put
9 likely the case for Victoria. Next slide,
10 please.

11 Now, I want to transition and talk
12 about treatment. Next slide.

13 So, first of all, I'd like to remind
14 you of the metabolic pathway that leads to GAMT
15 deficiency, because it gives you insight into the
16 treatment, which is replacing creatine through
17 oral supplementation and ornithine supplements to
18 help with the block, you know, decrease the
19 production of guanidinoacetate sodium benzoate,
20 which can help lower glycine, again with the idea
21 that you want to decrease the buildup of
22 guanidinoacetate. Next slide, please.

1 So, there is expert treatment
2 consensus around the use of creatine and
3 ornithine supplements as well as sodium benzoate.
4 Again, these are all oral supplements. There is
5 consensus around the degree of supplements that
6 individuals need. There's also consensus around
7 the protein restriction. It's less restrictive
8 and actually, if you go back a slide, I'm sorry,
9 I should have mentioned. There we go. The
10 reason for the protein restriction is to try to
11 decrease the amount of arginine that's going in,
12 again trying to decrease the guanidinoacetate
13 production. Next slide, please.

14 So, getting back to the protein
15 restriction, there is consensus around the degree
16 of protein restriction and one of the things I'd
17 like to highlight is it's less restrictive for
18 the other metabolic conditions we're used to
19 thinking about like phenylketonuria, for example.
20 So, individuals can still, you know, babies can
21 still breastfeed, that kind of thing, and then
22 there's serum monitoring, which is more frequent

1 earlier in life.

2 The Association of Creatine
3 Deficiencies does help families access creatine
4 and ornithine from reliable sources that are
5 manufactured with good clinical practice and
6 sodium benzoate is available from compounding
7 pharmacies. Next slide, please.

8 There is a gene therapy that is in
9 development. It's delivered with an AAV vector.
10 Thus far, it's been tested in a mouse model and
11 shown to normalize guanidinoacetate
12 concentration. So, you know, gene therapy is
13 always very exciting but it has yet to move into
14 human studies. Next slide, please.

15 What I'd like to do next is focus on
16 what we know about the benefits of early
17 intervention. So, initiation of pre-symptomatic
18 or early symptomatic stages versus later and
19 given the rarity of the condition, it's not
20 surprising that there's a limited amount of
21 information about that. We did find 6 reports
22 that I would like to highlight on the next slide,

1 please.

2 So, this table shows you the
3 individual reports. The last one that's listed,
4 I want to highlight, is just from a meeting
5 abstract that was published. It's not a full
6 report. If you look on the left panel, we
7 described outcomes with treatment onset in early
8 infancy, and on the right panel, it compares
9 those individuals to their older siblings with
10 later diagnosis when that information was
11 available. You can see that there was one study
12 from 2013 where there was no sibling comparison.

13 I want to highlight a few things.
14 So, first of all, if you look at the first column
15 under outcomes from early intervention, you can
16 see that there's a range from the prenatal period
17 to about 5 months. There was 1 study that
18 included 3 individuals, 1 who was diagnosed
19 during the prenatal period, another at 1 week,
20 another at 3 weeks. The next column shows you
21 the duration of follow-up and the longest period
22 of follow-up here is 42 months, and then the next

1 column shows the developmental status at this
2 follow-up.

3 Now, one of the challenges is that
4 these reports, did not have standardized
5 evaluations of development at specific ages and,
6 in fact, the reports generally just have sort of
7 a qualitative assessment of developmental
8 outcome. So, you can see, you know, all those
9 normal. But I can't really give you any more
10 information beyond that.

11 There is that statement for this
12 study by Dhar, et al. 2009, where they described
13 central hypotonia and developmental delay
14 persists, but that's really as far as I can tell
15 you.

16 But I want to contrast that first
17 panel with the second panel showing how these
18 children were doing, and again there's variable
19 period of follow-up up to 6-1/2 years in the El-
20 Gharbawy, et al. study from 2013. And what I'd
21 like to highlight is the differences that are
22 described in the outcomes, ranging from speech

1 and fine motor delays to developmental delay,
2 epilepsy, speaking a few words, and other more
3 significant impact.

4 Again, these studies generally
5 provide a qualitative assessment of these
6 developmental outcomes and so we're limited in
7 the ability to tell the story, but I just want to
8 sit here for, you know, 10 more seconds so that
9 you can look at this panel again, look at this
10 slide. This is in the report as well, just so
11 that you can compare and see the differences in
12 developmental outcome at follow-up versus their
13 siblings. All right, now, next slide.

14 All right. So, what I'd like to do
15 is just summarize what we know about early
16 treatment. So, these case series suggest that
17 pre-symptomatic or early initiation of treatments
18 is associated with improved neurologic outcomes,
19 with the, you know, the issue that they don't
20 provide outcomes based on standardized
21 quantitative measures at, you know, sort of
22 synchronized times to make the comparisons

1 easier. Next slide, please.

2 I'm going to switch gears now and
3 talk about newborn screening program costs of
4 GAMT deficiency newborn screening, and this is
5 work that was primarily led by Dr. Scott Gross.
6 Next slide, please.

7 So, the cost data come from
8 interviews with representatives from the New York
9 and the Utah Newborn Screening Programs.
10 Included in our estimated costs were things like
11 equipment, reagents, added laboratory technicians
12 and scientist's time. It's always difficult to
13 look specifically at one screening test when it's
14 incorporated into existing activities, and so
15 breaking out specific costs is challenging.
16 Next slide, please.

17 That being said, the estimated
18 additional cost to newborn screening programs to
19 screen for GAMT deficiency above the operating
20 costs of the program may be substantially less
21 than \$1 per infant. And, if you remember in our
22 method approach to evaluating cost of screening,

1 we provide ranges and so, the range here is
2 substantially less than \$1 per infant. Again,
3 this is based on interviews with the two programs
4 that have implemented GAMT deficiency, and I also
5 want to highlight that both of these programs use
6 the laboratory-developed test, and they have the
7 technical capacity, the ability to validate the
8 test and so forth. So, again, these costs don't
9 necessarily apply to other programs and you're
10 going to hear more about those issues as Mr.
11 Jelili presents the Public Health System Impact
12 Assessment. Next slide, please.

13 So, we can now move into modeling
14 and Dr. Powell, do you want us to continue, or
15 would you prefer to break for lunch?

16 CYNTHIA POWELL: Yeah. I think
17 we'll go ahead and take a break now. We're
18 scheduled to reconvene at 1:20 p.m. Eastern time.
19 So, thank you, Dr. Kemper, and then when we start
20 part 2 of the Evidence Review, we'll hear from
21 Dr. Prosser followed by Mr. Ojodu.

22 ALEX KEMPER: Thank you.

1 CYNTHIA POWELL: Thanks.

2 **BREAK**

3 CYNTHIA POWELL: Welcome back,
4 everyone. Before we continue with the rest of
5 the Evidence Review Group presentations, I need
6 to take roll. For the Committee members, I think
7 I'm supposed to mention again that Kamila Mistry
8 from the Agency for Healthcare Research and
9 Quality is unable to join us today. Kyle
10 Brothers.

11 KYLE BROTHERS: Here.

12 CYNTHIA POWELL: From the CDC, Carla
13 Cuthbert.

14 CARLA CUTHBERT: I'm here.

15 CYNTHIA POWELL: Jane DeLuca.

16 JANE DELUCA: Here.

17 CYNTHIA POWELL: From the FDA,
18 Kellie Kelm.

19 KELLIE KELM: Here.

20 CYNTHIA POWELL: From HRSA, Michael
21 Warren.

22 MICHAEL WARREN: Here.

1 CYNTHIA POWELL: Shawn McCandless.

2 SHAWN MCCANDLESS: Here.

3 CYNTHIA POWELL: Jennifer Kwon.

4 JENNIFER KWON: Here.

5 CYNTHIA POWELL: From NIH, Melissa

6 Parisi.

7 MELISSA PARISI: Here.

8 CYNTHIA POWELL: Chanika

9 Phornphutkul.

10 CHANIKA PHORNPHTKUL: Here.

11 CYNTHIA POWELL: I'm here, Cynthia

12 Powell, and Scott Shone.

13 SCOTT SHONE: Here.

14 CYNTHIA POWELL: And our

15 organizational representatives from the American

16 Academy of Family Physicians, Robert Ostrander.

17 ROBERT OSTRANDER: Here.

18 CYNTHIA POWELL: From the AAP, Debra

19 Freedenberg.

20 DEBRA FREEDENBERG: I'm here.

21 CYNTHIA POWELL: From ACMG, Max

22 Muenke. From ACOG, Stephen Ralston. From APHL,

1 Susan Tanksley.

2 SUSAN TANKSLEY: I'm here.

3 CYNTHIA POWELL: From the
4 Association of Women's Health, Obstetric &
5 Neonatal Nurses, Katie Swinyer.

6 KATIE SWINYER: I'm here.

7 CYNTHIA POWELL: Child Neurology
8 Society, Margie Ream. Department of Defense,
9 Jacob Hogue.

10 JACOB HOGUE: I'm here.

11 CYNTHIA POWELL: From the Genetic
12 Alliance, Marianna Raia.

13 MARIANNA RAI: I'm here.

14 CYNTHIA POWELL: From March of
15 Dimes, Siobhan Dolan.

16 SIOBHAN DOLAN: Here.

17 CYNTHIA POWELL: From the NSCG, Cate
18 Walsh Vockley.

19 CATE WALSH VOCKLEY: Here.

20 CYNTHIA POWELL: And from SIMD,
21 Gerard Berry.

22 GERARD BERRY: Here.

1 CYNTHIA POWELL: Okay, thank you.

2 Next, we will hear from Dr. Lisa Prosser.

3 **NEWBORN SCREENING FOR GUANIDINOACETATE DEFICIENCY**
4 **(GAMT) : A SYSTEMATIC REVIEW OF THE EVIDENCE, PART**

5 **2**

6 LISA PROSSER: Great. Thank you
7 very much, Dr. Powell. I'm not seeing the slides
8 yet on the screen.

9 CYNTHIA POWELL: Dr. Prosser's were
10 in with the ones we -- yeah, there you go.

11 LISA PROSSER: Perfect, great.
12 Thank you very much.

13 So, on the next few slides, I'll be
14 reviewing the results for projected population
15 level outcomes using decision modeling. Next
16 slide, please.

17 And the goal with this analysis is
18 to compare projected outcomes from GAMT
19 deficiency newborn screening for all newborns in
20 the US with usual detection, in the absence of
21 screening. Next slide, please.

22 So, the approach is to model an

1 annual US newborn cohort of 3.6 million and to
2 estimate the outcomes for newborn screening,
3 screening outcomes, as well as cases of diagnosed
4 GAMT deficiency, and for clinical identification
5 to estimate the confirmed number of cases for
6 GAMT deficiency. Next slide, please.

7 The previous models conducted for
8 evidence review have evaluated additional longer-
9 term outcomes, such as death, cognitive
10 impairment, or the need for mechanical
11 ventilation, and this is the second condition for
12 which both shorter- or longer-term health
13 outcomes are not the model due to insufficient
14 evidence to model those outcomes. Next slide,
15 please.

16 As a brief bit of background using
17 decision analysis here, this is a systematic
18 approach to decision making under conditions of
19 uncertainty and our goal here is to project
20 ranges given the, especially for this condition,
21 the scarce data that's typically available for a
22 newborn screen conditions or candidate conditions

1 that will present some point estimates for any of
2 the outcomes that really our goal is to provide
3 ranges to the Committee for both screening, as
4 well as when we have it available for short-term
5 outcomes for the Committee to be able to compare
6 those sets of outcomes across newborn screening
7 and states.

8 Decision analysis allows decision
9 makers to identify which alternative is expected
10 to yield the most health benefit, given the best
11 evidence that we have to date and at the same
12 time can often identify key parameters and
13 assumptions where additional data are needed and
14 so here clearly for health outcomes in past
15 conditions, this has helped to identify where
16 data collection could provide additional
17 information for future modeling. Next slide,
18 please.

19 This slide shows a simplified
20 diagram of the model schematic. So, the way that
21 the model analysis works is that hypothetical
22 cohorts of newborns are models in each arm of the

1 models. So, there are two arms, one for newborn
2 screening, and one for clinical identification.
3 You follow the decision tree across the top part
4 of the slide under newborn screening. There's a
5 chance that a newborn will have a positive screen
6 and be referred for additional testing, or that
7 they have a negative screen. If they are
8 referred for additional testing, then there are
9 the following probabilities of a confirmed of
10 GAMT deficiency diagnosis. The possibility of
11 false positive, positive lost to follow-up. In
12 this case, there's also an additional potential
13 outcome here designated as other, which includes
14 diagnosis of non-targeted conditions or unknown
15 determination here -- and I'll go through the
16 numbers on the next slide -- for 2 newborns who
17 died before confirmatory testing could be
18 conducted. Next slide, please.

19 This slide shows the model inputs
20 used for estimating the transition probabilities
21 and the model. These are all derived using the
22 data that were summarized by Dr. Kemper earlier

1 in the presentation and summarize the combined
2 data for the Utah and New York newborn screening
3 programs.

4 Just to run through these quickly,
5 for each of the probability inputs, there is the
6 most likely input that represents again, the most
7 likely estimate for that particular model input,
8 as well as a range. And again, here we're more
9 focused on the ranges than on the most likely
10 value.

11 So, there is a 1.7 to 3.8 per
12 100,000 chance of a positive screen. The
13 population level, again this is combined Utah and
14 New York data, for a GAMT deficiency diagnosis,
15 the positive screen 0.2 to 0.6 per 100,000.
16 There's a chance that a positive screen is false
17 ranging from 1.6 to 2.4 per 100,000. There were
18 no newborns that were lost to follow-up on in the
19 data that was provided by the Utah and New York
20 newborn screening programs, but here, there is a
21 slight probability based on if the estimated
22 confidence interval around that zero probability.

1 And again, here, there was 1 newborn
2 who was diagnosed with a non-targeted condition
3 and 2 that were designated as unknown
4 determination to death before confirmatory
5 testing but are expected to not have had GAMT
6 deficiency. But, again, there was no
7 confirmatory testing there.

8 Under clinical identification and
9 given the very rare nature of this condition and
10 the model being utilized for the estimate of the
11 range, it is based on the evidence of the
12 estimates that were summarized earlier, which
13 represents a range of 0.05 to 0.5 per 100,000 or
14 1 in 200,000 to 1 in 2 million for diagnosed
15 cases of GAMT deficiency in the absence of
16 newborn screening. Next slide, please.

17 So, the results of the decision
18 modeling for a cohort of 3.6 million newborns
19 projects on an annual basis 93 positive screens
20 with the range of 62 to 135, an estimated 7 cases
21 of GAMT deficiency with a range of 1 to 22
22 compared to clinical identification of a range of

1 2 to 18 cases per year and 77 false positive
2 cases with the range of 59 to 88, 0 cases lost to
3 follow-up, with a potential upper range there of
4 a range of 0 to 12, and this other designated
5 category of roughly 10 with a range of 2 to 26.

6 Next slide, please.

7 So, in terms of summary, the
8 modeling projections estimate 7 cases of GAMT
9 deficiency diagnosed with the range of 1 to 22
10 would be identified annually through national
11 newborn screening. There's insufficient evidence
12 to compare directly to estimated cases detected
13 in the absence of newborn screening, but the
14 projected range there is again 2 to 18.

15 For this condition, there was
16 insufficient evidence to model any clinical
17 outcomes beyond case identification to quantify
18 the potential benefits of screening.

19 But to clarify that last statement,
20 just to be clear that this does not imply that
21 there is not evidence of benefit, but
22 insufficient evidence to quantitatively estimate

1 the impact at the population level.

2 So, I will stop here and turn it
3 over to Jelili Ojodu for the Public Health System
4 Impact Assessment. Thank you.

5 JELILI OJODU: Thank you, Dr.
6 Prosser. Can you hear me?

7 CYNTHIA POWELL: Yes.

8 JELILI OJODU: All right, awesome.
9 And thank you to Dr. Powell as well for your many
10 years of leadership. I certainly have
11 appreciated your quiet leadership over the years
12 in getting things done. So, thank you, and thank
13 you to Dr. Shone as well for always thinking
14 about the newborn screening system as a whole in
15 your thoughts and input. Next slide, please.
16 Next slide.

17 So, we have the primary objective of
18 understanding what the Public Health System
19 Impact is for adding new conditions and we work
20 as part of a group of folks, some of them you
21 heard from already, some of them are behind the
22 scene, and this information is primarily

1 collected from State Public Health programs in
2 order to better understand the readiness and
3 feasibility of adding a condition, in this case
4 guanidinoacetate methyltransferase deficiency or
5 GAMT.

6 We try to focus on all of the
7 information that is going to be able to help us
8 better understand not only what it will take to
9 be able to screen for GAMT in newborn screening
10 programs, but also other related activities and
11 I'm going to highlight this as I progress in my
12 presentation here. The authority to screen, I
13 should remind everyone, is very important and
14 crucial and without the authority to screen in
15 state newborn screening programs for any of the
16 conditions, none of this will be possible. Next
17 slide, please.

18 So, how do we define readiness? As
19 I've done over the years in highlighting this in
20 at least two newborn screening programs, the
21 readiness of adding a new condition focuses on
22 the time it takes for them to be able to mandate

1 population screening within a year, at least
2 that's what we define as those programs being
3 ready, developmental readiness one to three years
4 that a program could implement population-
5 mandated screening, and then longer than three
6 years, is what we would identify or highlight as
7 unprepared for newborn screening programs. Next
8 slide, please.

9 So, it's also important to highlight
10 the competence of feasibility as defined as part
11 of our survey questionnaire that we send out to
12 state newborn screening programs. Dr. Kemper and
13 Dr. Prosser highlighted a number of these and I'm
14 not going to talk too much about them. You do
15 need a test -- an established test that we can
16 use for population screening, that's definitely
17 important, as well as an approach for diagnostic
18 confirmation, a treatment plan, and established
19 approach for long-term follow-up. Next slide.

20 So, methods. Next.

21 As for GAMT, like we've done for
22 other conditions, we developed a fact sheet of

1 information collected from state newborn
2 screening programs, the ones that at least have
3 been screening for GAMT, to better understand and
4 highlight and share challenges and opportunities
5 to other state newborn screening programs.

6 Remember, this is a hypothetical for
7 them. The majority of the country does not
8 screen for this particular condition. So, every
9 information that we can gather to help them
10 understand what it will take is very important.

11 As part of then the fact sheet, we
12 highlight all the things that other states, in
13 this case New York and Utah, are currently doing
14 in form of a webinar to state newborn screening
15 programs. Those state newborn screening programs
16 are the target audience for this particular
17 webinar, and then developed a survey, to be able
18 to get feedback from all of the 53 newborn
19 screening programs, and that includes the
20 District of Columbia.

21 We did perform in-depth interviews
22 for three newborn screening programs. As you

1 know, the two that have mandated screening for
2 GAMT, as well as one that is exploring or has
3 been exploring screening for GAMT, and then we
4 conducted two additional interviews. These are
5 phone interviews that we get on the phone and
6 just talk about on everything related to what
7 these states -- what it would take to be able to
8 screen -- publish and screen for GAMT with two
9 other states that are not screening for GAMT at
10 the moment. Next slide, please.

11 So, the results. Next slide,
12 please.

13 So, I think Alex had spent a good
14 amount of time talking about this and I'm going
15 to highlight much other than the last two states
16 there, the New York and Utah currently have
17 universal mandated screening for GAMT. The state
18 of Michigan has a mandate to screen and has been
19 trying to validate an assay for population
20 screening for a few years now. I'll highlight
21 some of their challenges later.

22 And then, we just informed as part

1 of the survey that the state of Connecticut is or
2 has started to not only consider or look into the
3 implementation of screening for GAMT, but also
4 have started working on the markers and an assay
5 to be able to screen for GAMT in moving forward.
6 They don't have a mandate and there's no current
7 timeline on when universal screening will start
8 there yet. Next slide, please.

9 So, we sent the survey out to 53
10 newborn screening programs, 31 or 34 -- 35
11 responded, I think, of which we excluded 4. The
12 2 that are either screening for GAMT at the
13 moment, the 1 that has been trying to validate
14 for GAMT for a while and then the 1 that I just -
15 - Connecticut as well, was excluded from the
16 survey.

17 So, the results of the survey that
18 I'm going to highlight in a minute is going to be
19 focused on the 31 states that do not screen for
20 GAMT at the moment. Next slide, please.

21 So, over the next few slides, I'm
22 going to highlight the results in this format

1 here. Excuse me. The question that was asked of
2 these states is please indicate what are the
3 following implementation factors for GAMT
4 deficiency, and what would present to you those
5 states in the form of a major, minor -- or minor
6 challenge? And as you can see here, most of the
7 respondents, approximately 90 percent of them,
8 considered the availability of a validated
9 screening test, addressing administrative
10 challenges, as well as increasing their fee as
11 challenges to be able to screen for, do
12 population-mandated screening for GAMT, once they
13 have the authority to screen for GAMT, of course.

14 The availability of or identifying
15 specialists and availability of treatment was not
16 deemed as a challenge in these states and so,
17 that's something worth noting in moving forward.
18 Next slide, please.

19 The question and these questions --
20 the full questionnaire is included as part of
21 your packet. So, if you want to review all of
22 the questions, they will be there. The question

1 we asked here is consider of what are the
2 resources needed for your newborn screening
3 program to implement GAMT deficiency? Now, these
4 are states that actually have a laboratory
5 newborn screening program. We asked a different
6 question for states that outsource their newborn
7 screening laboratory testing to other states or a
8 commercial entity.

9 And as you can see here,
10 approximately half of the respondents from those
11 states, said that not having a method for
12 screening GAMT was going to be a major
13 deficiency. Oh, yes. They were not going to be
14 able to bring on screening for GAMT within a
15 year. So, this was like based on timelines.
16 LIMS capacity, LIMS being Laboratory Information
17 Management System, capacity, and instrumentation
18 interface for reporting out was also deemed as
19 something that states were not going to be able
20 to do or implement within a year.

21 Let me just read this. Lack of FDA-
22 approved kit also is something that states

1 mentioned that certainly would be helpful in
2 moving forward, but being that there is none
3 available right now, the two states that are
4 screening for GAMT are using a laboratory-
5 developed test. It is certainly noteworthy.

6 And then 32 percent of the states
7 reported not having a LIMS capacity to be able to
8 get screening moving forward within a year. Next
9 slide, please.

10 So, these are the states that
11 outsource their newborn screening to other states
12 or a commercial entity, the commercial entity in
13 this case being Perkin-Elmer. The question is,
14 what resources are needed for your newborn
15 screening program in order to implement GAMT
16 screening?

17 And again, a good, I think, about 32
18 percent of the respondents did not have the
19 technical expertise, at least that they thought
20 would be needed within a year to be able to
21 screen for GAMT.

22 Let's flip side it. A good

1 majority, three-quarters of the respondents,
2 noted that they, in fact, had the specialists or
3 have contact with specialists or treatment
4 centers and diagnostic services in place for GAMT
5 deficiency.

6 You can see here that the same goes
7 for, I talked about treatment specialists and
8 appropriate access to diagnostic services. Next
9 slide, please.

10 So, the full question here is, the
11 following -- these are the considerations to be
12 able to add GAMT as part of your newborn
13 screening programs. I think this is a
14 continuation of the last slide as well. So,
15 these states outsource the laboratory testing to
16 another state or commercial entity.

17 Availability of a screening test,
18 follow-up protocols all seem to be things that
19 folks say that they thought they do not have, but
20 they could get within a year: appropriate
21 diagnostic testing, as well as the treatment
22 centers similar to the last slide, is something

1 that they didn't think was the major concern.

2 And sufficient amount of laboratory
3 staff to be able to do the follow-up and tracking
4 again was something that -- one of the variables
5 that they did say that they had available to them
6 right now and will be able to move forward with
7 GAMT screening in their state newborn screening
8 program. Next slide, please.

9 The question here is, can you
10 conduct or would you hypothetically be that
11 again, the majority of states are not screening
12 for GAMT. Would you conduct the second-tier test
13 for GAMT deficiency? I think about half of them
14 said that it wasn't necessary, 30 percent said
15 most likely, but they won't be ready to be able
16 to do it within the next year.

17 That question, we have to be careful
18 about, because I'm not sure if states are
19 considering doing that second-tier in house or
20 not.

21 And then, 20 percent of them said
22 that they plan to outsource or contract out the

1 second-tier testing if, in fact, they were to be
2 -- they were doing it and then people said that
3 they could be ready within a year to be able to
4 do second tier in-house. Next slide, please.

5 The question asked here is please
6 indicate the degree to which the factors impede
7 or facilitate the ability to adopt screening for
8 GAMT in your states.

9 Again, barriers were cited around
10 majority of the programs, including: the
11 estimated cost per specimen to conduct a
12 screening, the factors related to other
13 priorities that are going on in the state newborn
14 screening program at that point, and other
15 newborn screening program activities. For that,
16 I think they were highlighting the addition of
17 previous conditions that have been added to the
18 RUSP that they haven't added into their state
19 newborn screening panels. Next slide, please.

20 And then, is this the next slide?
21 Okay, and then this, oh, this is a continuation
22 of the previous slide there because we didn't

1 want to just have these slides be too busy. Same
2 question and factors that impede or facilitate
3 the ability to adopt screening for GAMT and, as
4 you can see here, the majority of the states were
5 highlighting that the expected cost benefit to
6 screening was definitely a facilitator. The
7 input from advocacy groups, as well as expected
8 clinical outcomes, and the fact that GAMT can be
9 multiplexed with other conditions that dates are
10 currently screening for was certainly a major
11 facilitator in state newborn screening programs
12 that responded to the survey. Next slide,
13 please.

14 So, estimated time to implement GAMT
15 deficiency in states. Remember these are
16 hypothetical questions that we were asking
17 states. Once they have the authority to screen
18 for GAMT, once they had the funding, once they
19 have been able to procure ideally all
20 instrumentation, educational activities, all of
21 that, in their states, about half of the states
22 respond that it will take about 24 to 36 months

1 to be able to -- 25 to 36 months to be able to
2 implement GAMT in their state newborn screening
3 programs. Next slide, please.

4 So, I highlighted the fact that we
5 did some extensive interviews with the states
6 that are currently screening for GAMT and most of
7 these have already been highlighted by Alex. The
8 fact that GAMT can be multiplexed with other
9 amino acid and acylcarnitine is a plus and
10 certainly a plug and we're almost always thankful
11 for the fact that we -- this is a laboratory-
12 developed test and if not for that, we won't be
13 screening for this condition at this point in
14 time.

15 The states that are screening
16 highlighted the fact that the additional staff
17 time -- there is little additional staff time
18 required. And, as Alex noted, second-tier test
19 was eliminated by both of the states as they
20 progress in screening for GAMT in their newborn
21 screening programs.

22 I talked about the laboratory-

1 developed tests and then the challenges again
2 include not having an FDA-approved kit and in
3 some cases, making adjustments into the LIMS
4 system. Next slide, please.

5 So, Alex talked a little bit about
6 this. We spoke to the folks in Michigan, and
7 they talked about some of the challenges in
8 validating their method for screening for GAMT.
9 This is they were trying to use or explore using
10 the non-derivatized kit that they use to screen
11 for other mass spec conditions. Change that to
12 screening for GAMT, which will then change the
13 kit into a laboratory-developed test, and they've
14 spent the last on and off three years in trying
15 to validate that kit or that kit to LDT to screen
16 for GAMT without any success.

17 There were some sensitivity issues.
18 They noted for a while there that there were high
19 false positives that were flagging, not only for
20 GAMT, but for all of the conditions on a plate
21 that they had to resolve by extensive cleaning
22 out their mass spec being that it's a non-

1 derivatized method that they were using. And I
2 think in moving forward, which they plan to
3 figure out a way to move forward in screening for
4 GAMT, one of the things that they mentioned was
5 replacing their mass specs, which were a little
6 bit older, and maybe considering changing their
7 methodology to a laboratory-developed test. So,
8 more information on that later from the program.
9 Next slide, please.

10 So, lesson learned from states that
11 are not screening for GAMT, we heard from two
12 states and, as you can imagine, they highlighted
13 a few things, including competing priorities.
14 Competing priorities in a newborn screening
15 program can be anything from continuous quality
16 improvement project, it can be adding other
17 conditions that is on the RUSP but the state
18 doesn't screen for, the funding to be able to
19 get, to be able to support not only newborn
20 screening in the laboratory, but all aspects of
21 the system, updating their LIMS system, the
22 Laboratory Information Management System.

1 And then, the concern about
2 expectation in newborn screening programs not
3 having enough resources to be able to do all
4 these things in a timely manner, whether or not
5 there is an alignment to the RUSP in a limited
6 amount of time if the state doesn't have the
7 authority to screen and if procurement of the
8 laboratory equipment takes two years, it then
9 becomes a major issue for the states to be able
10 to move a number of things for it and they noted
11 that as part of their challenges in moving
12 forward. Next slide, please.

13 The strengths, we got about 66
14 percent of folks responding to the survey. The
15 webinar and fact sheet was absolutely helpful in
16 helping states understand what to expect in
17 moving forward if they were to screen for GAMT.
18 We pretty much tried to show them through other
19 states what to expect and implementation of GAMT
20 in their newborn screening programs, and it
21 certainly helped to show and highlight those real
22 world experiences and working the path of the

1 spot. Next slide, please.

2 The limitation, of course, is that
3 again, and I've said this a number of times,
4 these are subjective responses for a condition
5 that a state has not been -- is not screening for
6 and we know that going in asking these questions
7 in the first place, but this is the best that we
8 can do, and we continuously find ways to improve
9 this process.

10 Alex talked about the limited data
11 on GAMT, and so I'm not going to highlight any of
12 that, and I just wanted to put this out there,
13 which is a very important point to make, that
14 there is great -- as much as there is
15 harmonization in newborn screening programs, when
16 it comes to implementation of a new condition,
17 that implementation cannot be generalizable,
18 especially from other programs that are screening
19 for that particular condition. They learn quite
20 a bit from them, but once they experienced it, it
21 is not the same in other states. Next slide.

22 Quick summary, next slide.

1 Approximately half of the states
2 reported that it would take two or three years to
3 implement GAMT as part of the newborn screening
4 programs after they have the authority to screen
5 for GAMT. Their readiness, as I highlighted, or
6 I tried to highlight, varies across the country
7 in that 35 percent of states reported that they
8 can implement or are able to implement GAMT
9 testing within two years and then another 20
10 percent said that it would take them about three
11 years to implement GAMT. Next slide. Can you go
12 back one?

13 Thank you for Utah and New York for
14 sharing your experiences of screening for GAMT
15 and certainly being able to highlight how with
16 the successes and challenges, you've been able to
17 do that.

18 We cannot dismiss all of the
19 activities that has gone on to validating GAMT in
20 the state of Michigan for the last three years,
21 but throughout the process, they still continue
22 to at least find ways to figure out how to be

1 able to move forward to screen GAMT.

2 And I've highlighted this a number
3 of times, but the an FDA-approved kit certainly
4 doesn't hurt in the process of adding a new
5 condition, in this case GAMT, to state newborn
6 screening programs to facilitate the process.

7 Next slide, please.

8 The ability to multiplex is a plus,
9 plus for sure in that it's mass spec and, at
10 least for the LDTs, states that are using LDTs,
11 they seem to have been able to bring that on with
12 time, but an effort. But they were able to do
13 that for population screening in their states and
14 that states have eliminated successfully the
15 second-tier testing for GAMT. I think that is a
16 major lesson learned from the two states that are
17 screening for GAMT.

18 Challenges still remain the same,
19 validating the test, funding, not just for the
20 newborn screening program, but all of the system-
21 related activities, staffing, and then competing
22 priorities, and I think that's my final slide.

1 Next slide, please.

2 I think that is it. So, thank you.

3 CYNTHIA POWELL: Thank you, Mr.

4 Ojodu, and thank you, Dr. Prosser and Dr. Kemper.

5 Before we move onto our question-
6 and-answer period, Dr. Kemper, did you have any
7 final remarks that you wanted to make, or should
8 we just go on?

9 ALEX KEMPER: We're happy to
10 entertain questions.

11 CYNTHIA POWELL: Okay. Thank you.
12 So, we'll open this up for discussion. Committee
13 members will discuss first, followed by
14 organizational representatives. Please use the
15 raised hand feature and also speak your first and
16 last names each time you ask a question or
17 provide comments. Melissa Parisi.

18 MELISSA PARISI: Hello and thank
19 you. This is Melissa Parisi from NIH, and I
20 appreciate all the thoughtful presentations and
21 all of the important data that you all shared.

22 I have a question about the "other"

1 category and those infants that were initially
2 screened positive but for which we were unable to
3 confirm the diagnosis and may have had an off-
4 target condition, and I'm just wondering if there
5 -- and maybe this is a general question for our
6 metabolic genetics friends -- if there are any
7 other conditions that we think may be
8 occasionally picked up by this assay that, for
9 whatever reason, either are not -- we don't know
10 what it is, or they have not been well described,
11 or if there are some additional sort of secondary
12 conditions that may be picked up by the GAMT
13 screening process.

14 ALEX KEMPER: Let me first take a
15 stab at that and then then I'll open it up to
16 others. We did ask the Technical Expert Panel
17 about that particular question. Again, the only
18 "other" conditions being picked up thus far
19 through newborn screening that we're aware of is
20 that one case of arginase deficiency.

21 The other thing that we lumped into
22 that "other" category because, you know, it's

1 sort of unclear how you handle it, are the
2 newborns who were referred, but who died prior to
3 diagnostic evaluation. We didn't want to count
4 them as lost to follow-up, because it wasn't
5 really, you know, like the typical system lost to
6 follow-up. But we did want to keep track of
7 them. So, we lumped them together in that
8 "other" category and from what we understand,
9 it's likely that those were sick babies in the
10 NICU and that's what led to them having that
11 positive screening in the first place.

12 But to get back to your sort of
13 broader metabolic question, it's only arginase
14 deficiency where the Technical Expert Panel
15 didn't think that there would be other off-target
16 things that would be picked up and I'll just
17 leave it there.

18 CYNTHIA POWELL: Scott Shone. I
19 think you're muted.

20 SCOTT SHONE: Sorry. Yeah, sorry.
21 I hit the wrong button still and we've got three
22 years into this. So, a couple of questions. One

1 for, I guess, Jelili and one for Alex.

2 So, Alex, one question about the
3 treatment, because in the text of the report --
4 and it didn't really jive or maybe I missed it on
5 the slide -- is in the text, you said that their
6 review did not identify any treatment
7 recommendations endorsed by national specialty
8 groups and I went back and looked at some of the
9 previous evidence reviews and at least the ones I
10 looked at all had some level, even MPS II, you
11 know, had -- had some -- some type of treatment
12 that was endorsed by a national subspecialty
13 group. So, I wanted to ask about, you know, can
14 you help delve into that for me to understand a
15 little better, as you know, I'm not a clinician,
16 so help me understand that and two, and related,
17 is the treatments are not pharmacological, they
18 are dietary supplements and there was a flag in
19 the review around the potential, because of the
20 way the FDA class, that they can be changed, but
21 ACD has negotiated a, I guess, a partnership to
22 produce higher-quality supplements and I'm

1 wondering, given the challenges we're seeing
2 right now that don't seem to be ending on supply
3 chain and issues like that, how -- how is that
4 balanced on a dietary supplement classification
5 versus the support for a pharmacologic, and
6 availability of an unchanging therapy that the --
7 that patients can rely on, so?

8 ALEX KEMPER: Yeah, let me -- so,
9 let me first touch and see if I can talk about
10 the endorsed treatment guidelines. We didn't
11 find, you know, any national society that had
12 endorsed the specific treatment parameters for
13 GAMT deficiency. What we did find is a number of
14 articles that suggested ranges of the different
15 therapies and then there was recently a book
16 chapter that sort of brought it all together and,
17 you know, lined up what the treatment
18 recommendations are, including the specific dose
19 ranges of the medications. You know, I don't
20 know why there's not, you know, like, society
21 recommendation for treatment, and I suspect some
22 of that has to do with the rarity of the

1 condition and the fact that there's general
2 agreement amongst the, you know, small number of
3 subspecialists that take care of these children
4 about what the right dosing ought to be. So, I'm
5 just not sure why they haven't taken it to the
6 next step, but I don't want to leave with the
7 impression that people are sort of all over the
8 map in terms of where they -- they treat those.
9 There does seem to be consensus across the
10 articles that we read and then that book chapter
11 that I think is probably the closest to the
12 definitive thing. So, that's thing one.

13 Thing two, you know, anybody can
14 order creatine from, you know, amazon.com right?
15 You know, in fact, we see, you know, teens who
16 want to, you know, bulk up who buy creatine that
17 way. I think the Association for Creatine
18 Disorders was wise in terms of recognizing that
19 the prevalence of those supplements, you know,
20 might be suspect, and so they've contracted with
21 a -- with a lab that met, you know, their
22 criteria for getting the creatine and the

1 ornithine. What we were told by them and by the
2 Technical Expert Panel is that you can get sodium
3 benzoate fairly easily from a compounding
4 pharmacist and, as a matter of fact, the volume
5 that you get you when you go there is so much
6 greater than anything that any like newborn would
7 need. It's like a huge supply of it. So, that -
8 - that's sort of where that comes.

9 So, your other question, though, is
10 about like, you know, what -- what's going to
11 happen with the supply chain, and do we need to
12 worry about the availability of therapy and, I
13 mean, I can't predict, you know, what's going to
14 happen in the future. But, you know, it seems
15 like the supply is okay now based on what we were
16 told in our interview with the Association for
17 Creatine Disorders or Deficiencies, I should say
18 rather.

19 SCOTT SHONE: Now, it's just why you
20 unmuted it. In terms of, you know, we've often
21 talked about, particularly with that much higher
22 dollar therapies, gene therapy, et cetera, equity

1 and access issues. I -- is it safe to assume or
2 not even assume, but can you -- would you find
3 that, based on what you just explained with ACD
4 and everything, that they -- that those issues in
5 terms of equity and access are somewhat easier
6 with this type of therapy or similar despite the
7 less --

8 ALEX KEMPER: Well, I would say --
9 so, it's not within our purview to figure out
10 like what the costs of therapy are because, you
11 know, we just can't do that in the time that we
12 have, but I'm looking right now, that's why
13 you're looking at the side of my face, at a sheet
14 that the Association of Creatine Disorders put
15 together in terms of the monthly cost and, of
16 course, the monthly cost depends on -- on how
17 much you weigh but can range anywhere from like
18 \$30 a month for the smallest child to about \$130
19 a month for like a full sized, you know, starting
20 in late adolescence through -- through adulthood.

21 So, the, you know, that's obviously
22 a lot less than gene therapy, but there are

1 issues, right, because of the way that the, you
2 know, the supplements are covered that
3 individuals might have to bear the cost. Of
4 course, you have to weigh that with the costs of
5 therapy if you're not treated early, if you
6 believe that early treatment leads to better
7 health outcomes. So, you know, I just -- it's
8 just sort of that weighing thing.

9 But, you know, we've talked in this
10 group many times about the challenges of medical
11 foods and supplements for these conditions,
12 which, you know, sort of goes beyond what I could
13 do.

14 The other thing, I guess I should
15 point out -- sorry, I know I'm giving you a long-
16 winded answer, but it's my last chance today to
17 answer questions for you in this format, so I
18 want to make sure I can take full advantage of it
19 -- is these are not like, you know, little
20 supplements like somebody might want to take, you
21 know, to -- to bulk up or whatever, I mean, these
22 are, you know, these are specific doses that are

1 applied to treat a specific condition. So, I
2 just don't want to confuse like taking a little
3 supplement versus using it really as a
4 medication.

5 CYNTHIA POWELL: Shawn McCandless.

6 SHAWN MCCANDLESS: Thank you. I am
7 putting on my hat as a biochemical geneticist to
8 respond to a couple of -- to respond to Dr.
9 Parisi's question. I think specifically that the
10 question was about what other conditions might be
11 identified by this. Arginase deficiency is the
12 one that Dr. Kemper mentioned, and that is one
13 for which is currently a secondary. It's on the
14 secondary screening list and actually would be
15 highly desirable to have improved newborn
16 screening for that and it's entirely possible
17 that this -- that the addition of
18 guanidinoacetate would improve our ability to
19 diagnose arginase deficiency in a timely fashion,
20 which would have very real benefits for those
21 individuals as well, who are now not routinely or
22 reliably identified by newborn screening.

1 There's also some question of
2 whether other of the distal defects may be better
3 identified, which are also secondary targets or
4 where -- that might be better identified by
5 having a secondary flag or a secondary metabolite
6 to monitor.

7 And the last thing that I don't
8 think we really have discussed is that GAMT
9 deficiency is one of three creatine deficiency
10 disorders and the other two are not screened
11 right now and there would be benefit to those
12 patients for screening as well. Guanidinoacetate
13 has potential to have low values, have potential,
14 along with low creatine to be a marker AGAT
15 deficiency, the first step in that pathway, which
16 would also be very, very beneficial to those rare
17 patients, because they would also benefit from
18 early identification and therapy.

19 So, from the -- from the secondary -
20 - the secondary conditions would all -- unlike
21 many of the secondary conditions from other
22 primary RUSP components, where there's no

1 treatment, so it's sort of unfortunate that you
2 pick them up, I can't think of anything that
3 wouldn't be good to identify with this test. So,
4 that's actually kind of an added bonus, from my
5 perspective.

6 And then, if I may, to Dr. Shone and
7 Dr. Kemper's comments about dietary supplements,
8 I know that the FDA defines dietary supplements
9 as a compound that its unaltered form is a
10 component of foods that we take in. But I think
11 it's really clear that we -- that we recognize
12 these are not dietary supplements. These are
13 small molecules that are given to modulate the
14 output of a biochemical pathway in an individual
15 with a severe genetic disorder and they are
16 treatments, and we need to continue to work with
17 the community, with the FDA, and others to more
18 carefully define how these molecules are
19 described and get away from this dichotomy of
20 pharmaceutical versus dietary supplement, because
21 it does a great disservice to many individuals
22 with rare diseases.

1 CYNTHIA POWELL: Thank you.

2 Robert Ostrander.

3 ROBERT OSTRANDER: Thank you. I
4 just want to sort of expand on what Shawn just
5 said. For a while, a few years ago, we on the
6 Advisory Committee and other groups that I belong
7 to, had made a big push to chime in on the fact
8 that medical foods needed to be considered
9 disease treatments and therefore covered by the
10 mandates from the Affordable Care Act, et cetera,
11 and that has not been a big topic for the past
12 few years. I did some advocacy of the American
13 Academy of Family Physicians about it, I think it
14 was five or six years ago, and, you know, it's
15 now officially the position of the Academy. But
16 I don't think any real advocacy has happened
17 around that. I don't know to what extent the
18 Advisory Committee in the report of the Secretary
19 can advocate for that. But I think, you know,
20 being even more specific that we need to
21 distinguish the two is, we distinguish the two
22 and insist that they be covered similar to

1 pharmaceuticals for treatment of medical
2 conditions.

3 CYNTHIA POWELL: Thank you for your
4 comment. I'm not sure of the current status. I
5 know in the past, bills have gotten stuck in
6 Congress and not passed to support coverage for
7 medical foods for patients with inborne errors.
8 I know we have a young girl in North Carolina
9 riding her motorcycle around the country to raise
10 money for families whose children have inborne
11 errors of metabolism and can't afford their low-
12 protein foods. So, where the state provides
13 formula, they do not provide funding for low-
14 protein foods and those are extremely expensive,
15 if any of you have tried to buy those in the
16 grocery store or ordered them. So, certainly a
17 need that we shouldn't forget about despite
18 frustration that it has not gone anywhere in the
19 past. So, Scott Shone.

20 SCOTT SHONE: All right. So, I just
21 want -- two things. One, I just to be clear that
22 I wasn't taught actually talking about costs. I

1 just wanted to make sure that babies identify
2 what some level have access to these -- to these
3 -- what I think the evidence review has shown, is
4 to be of extreme benefit to the people -- the
5 children that are identified. So, I -- I was --
6 I didn't want to delve down into health care
7 costs, so I wasn't trying to derail a harms and
8 benefits discussion and just want to be clear
9 about my intention there is making sure that
10 everybody can have access to clearly what's
11 benefited, particularly the families that we've
12 seen on the multiple calls over the last few
13 years.

14 But, I did want to go back to -- I
15 was going to ask Jelili a question and I don't
16 know if Jelili is still on or if this is going to
17 go to Alex, but I think the Michigan experience
18 is a little -- it's not even a little, it's --
19 it's somewhat concerning with the three- to four-
20 year attempts at trying to validate and come off
21 of an FDA-cleared kit, because I think it's
22 important for everybody to realize that if you

1 modify an FDA-cleared kit, it's now a laboratory-
2 developed test and most states -- let me back up,
3 I won't say most. I tried to look on New Steps
4 for some actual data to support this. So, I
5 don't want to get into the numbers, but many
6 states are now using FDA-cleared kits. North
7 Carolina transitioned last year. So, we don't
8 want to go back to modifying and so I'm trying to
9 understand and learn from our colleagues. I
10 think it's going to be -- I think that that's --
11 and I've been getting texts during the call,
12 yeah, in the Public Health System Impact, we put
13 that as a barrier. I think that's really going
14 to be -- the potential barrier on the timeline
15 for this is figuring out the best way from a
16 regulatory standpoint for labs to stand this up.
17 I've heard the cost; I've heard the therapy. I
18 think that, unlike some of the other things where
19 it's not as big of a gap, I just, I worry -- I
20 just worry about Michigan's experience and the
21 scope of it because clearly New York and Utah
22 figured this out with their LDTs. But I think

1 there's some more there and I don't know Jelili,
2 if you can comment on exactly what -- I mean,
3 there was sensitivity and instrumentation, but
4 not everybody's going to be able to upgrade say,
5 you know, two to six tandem mass specs at
6 \$350,000 apiece. That changes the dollar
7 estimate that was portrayed.

8 ALEX KEMPER: I don't see Jeleli
9 right now. So, maybe I'll just fill in and just
10 add that that I think, you know, you summarized
11 the issue nicely in terms of laboratory-developed
12 tests versus using an existing testing kit and,
13 you know, Michigan did talk about, you know,
14 potentially needing to update their older tandem
15 mass spec devices, which again goes sort of
16 outside of my area.

17 One thing that we're not able to
18 comment on for the -- for the evidence review is
19 what manufacturers of testing kits plan to do in
20 the future. So, if this moves forward, you know,
21 presumably they'll have an incentive to develop a
22 testing kit that would then be available to

1 anybody who's interested. But I'm not sure what
2 that process is, can't comment on the timeline,
3 or what its potential cost would be.

4 CYNTHIA POWELL: Shawn McCandless.

5 SHAWN MCCANDLESS: Thanks. Dr.
6 Shone, thank you for reminding me of the other
7 thing I wanted to say in response to your
8 comments about the supply chain issues. In
9 addition to the -- it turns out, there are a
10 number of suppliers that metabolic providers have
11 found to be reliable for these supplements and
12 for these products, these dietary or these
13 nutrition or these treatments that we use. But
14 the problem is that because they're not FDA --
15 there's no FDA oversight of their production. In
16 addition to the problem of whether they're
17 reliable, we can find that out by experience or
18 private testing, but what we can't find out is,
19 where does it come from. So, if there's
20 suppliers of creatine that we use routinely, are
21 they all getting their supply from the same
22 producer, and we don't have any way to know that

1 or understand that. That said, if past
2 experiences in the example, supply chain issues
3 are more likely to be a problem with pipette tips
4 for the newborn screening labs than they are for
5 access to creatine.

6 CYNTHIA POWELL: Thank you. Any
7 other questions or comments before we move ahead?
8 All right. Thank you again for the evidence
9 review. For each condition considered for full
10 Evidence-Based review, two Committee members are
11 selected to serve as liaisons to the ERG. These
12 Committee members are tasked with developing a
13 report summarizing evidence review, forming a
14 recommendation for the condition rating and
15 overall Committee recommendation, and assisting
16 the chair in leading Committee discussion.
17 Before turning it over to Dr. Jean DeLuca and Dr.
18 Shawn McCandless, I want to give a very brief
19 overview of the decision matrix.

20 The Advisory Committee first
21 assesses the magnitude of net benefit and then
22 the certainty about the evidence. After this

1 assessment, readiness and feasibility from a
2 State Public Health Program perspective are
3 assessed. This two-step decision process is used
4 to guide the Advisory Committee recommendations
5 to assure clarity and transparency. The Advisory
6 Committee assigns codes in this process, which
7 are then used in the development of
8 recommendations.

9 The Advisory Committee adheres to
10 the following principles in developing
11 recommendations; that the recommendations are
12 evidence-based, there must be scientific evidence
13 that screening leads to improved outcomes, and
14 that these benefits outweigh the harms of
15 screening, and the outcomes that matter most are
16 the health benefits to individuals screened. The
17 overarching goal of screening is to improve the
18 health-related quality of life of newborns. Next
19 slide.

20 So, as you see here, the magnitude
21 of net benefit from substantial to negative and
22 then the certainty of that net benefit from high

1 to moderate to low is the basis for that -- that
2 rating score A through L. Next slide, please.

3 Recommendations take into account
4 the readiness of State Public Health Systems to
5 begin comprehensive screening and the feasibility
6 of either beginning such activities or developing
7 the ability to do so. Readiness assesses the
8 current ability to implement comprehensive
9 screening and feasibility assesses the resource
10 needs for effective comprehensive screening,
11 including a general estimate of costs to adopt
12 screening for the condition under consideration.
13 Next slide.

14 And so, the feasibility ranges from
15 high to moderate to low and then readiness of
16 ready, developmental meaning that most State
17 Public Health Departments have developmental
18 readiness and screening has high to moderate
19 feasibility, or unprepared, that most State
20 Public Health Departments are unprepared to begin
21 comprehensive screening and screening has high to
22 moderate feasibility or a 4 rating that

1 implementation of screening for the targeted
2 condition has low feasibility. Next slide.

3 Using as part of the matrix the
4 Advisory Committee assigns one code to rate the
5 evidence. So, an A rating, again indicating that
6 there is high certainty that adoption of
7 screening for the targeted condition would lead
8 to a significant or substantial net benefit; B,
9 there is moderate certainty that adoption of
10 screening for the targeted condition would lead
11 to a significant or substantial net benefit; C,
12 there is high or moderate certainty that adoption
13 of screening for the targeted condition would
14 lead to a small to zero net benefit; and the D
15 rating, there is high or moderate certainty that
16 adoption of screening for the targeted condition
17 would lead to a negative net benefit; or an L
18 rating, there is low certainty regarding the net
19 benefit from screening. Next slide.

20 So, once each of the readiness and
21 feasibility ratings are assigned, the Advisory
22 Committee uses the Public Health Capacity Matrix

1 to assign readiness and feasibility, and I've
2 already gone through those -- those ratings.

3 So, are there any questions about
4 the decision matrix? All right.

5 Before introducing Dr. DeLuca and
6 Dr. McCandless, I'll remind organizational
7 representatives that unless otherwise directed,
8 the deliberation that follows this presentation
9 will be for Committee members only.

10 Dr. Jane DeLuca is an Associate
11 Professor and has been at the School of Nursing
12 at Clemson University South Carolina since 2012.
13 She has a clinical appointment at the Greenwood
14 Genetic Center in the Metabolic Clinic caring for
15 newborn screening patients and others with
16 inborne errors of metabolism.

17 Dr. DeLuca has worked in newborn
18 screening as a nurse practitioner since 1999.
19 Her research interests include parents' and
20 families' experiences of newborn screening.

21 Dr. Shawn McCandless is Professor of
22 Pediatrics and Section Head for Genetics and

1 Metabolism at the University of Colorado, Denver
2 School of Medicine and Children's Hospital
3 Colorado.

4 He is a past President of the
5 Society for Inherited Metabolic Disorders. He
6 served on the Ohio Department of Health Newborn
7 Screening Advisory Council for twelve years prior
8 to moving to Colorado.

9 His research is focused on inborne
10 errors of metabolism and Prader Willi Syndrome.
11 He is a fellow of the American College of Medical
12 Genetics and is active in the SIMD and the
13 American Society for Human Genetics.

14 And I'll now turn it over to Shawn.

15 **COMMITTEE REPORT: NEWBORN SCREENING FOR**
16 **GAMT DEFICIENCY**

17 SHAWN MCCANDLESS: Thank you, Dr.
18 Powell, and I want to thank -- also thank Dr.
19 Powell for her assistance with this -- with the
20 work that we're about to present that Dr. DeLuca
21 and I, along with guidance from Dr. Powell, have
22 put together to sort of frame the discussion.

1 May I have the next slide, please.

2 To frame the evidence that you've
3 heard already into the -- into how we -- we might
4 want to think about it, as it applies to the
5 decision matrix and just a couple of things that
6 I want to point out to supplement what Dr. Powell
7 said about the decision matrix is that the first
8 is that, for the purposes of this presentation we
9 are -- we are certainly referring to
10 understanding the level of certainty of net
11 benefits of compulsory population-based newborn
12 screening, and you've heard me use that term
13 before, but I think it's important that we keep
14 coming back to the fact that this is a Public
15 Health Program that is mandated for all newborns
16 and that people don't have a choice about, and so
17 it -- it requires a high bar for adding
18 conditions and it considers -- it forces us to
19 consider both the benefit to individuals who were
20 affected, which is very important, but also the -
21 - it requires us to consider benefits and harms
22 to individuals who are not affected, but may have

1 a positive screen or may have it be a missed
2 case.

3 The second component feasibility of
4 newborn screening is feasibility in the world in
5 which we live, not in the world in which we wish
6 we lived, for the purposes of this discussion.

7 And state's readiness to implement
8 newborn screening refers at least in my mind,
9 refers to the newborn screening laboratory, the
10 newborn screening program, as well as the
11 availability and access to follow up care and
12 appropriate treatment. May I have the next
13 slide, please? And you can go on to the next
14 slide.

15 Just a reminder that what Dr. Kemper
16 has told us about guanidinoacetate
17 methyltransferase, it is an autosomal recessive
18 disorder of creatine biosynthesis. It's one of
19 three disorders that lead to cerebral creatine
20 deficiency. The most common is cerebral creatine
21 transporter that's X-linked, so primarily affects
22 males and for which no screening method has yet

1 been identified. Also, treatment is much more
2 difficult for this condition.

3 And then the arginine glycine
4 amidinotransferase deficiency or AGAT, is also
5 rare. There's -- it's so rare that we don't
6 really even have a good estimate of birth
7 prevalence. But again, because guanidinoacetate
8 does not accumulate in that condition, it would
9 not be detected by this -- by high levels of
10 guanidinoacetate, although, as I mentioned
11 earlier, low levels could potentially eventually
12 lead to screening for that condition, which would
13 also be beneficial because those children benefit
14 from treatment with creatine as well.

15 The neurological deterioration, just
16 to remind you, begins early in infancy and it is
17 hypothesized that the decreased CNS creatine is
18 the primary factor. That also that there's a
19 toxic effect of the accumulation of
20 guanidinoacetate, evidence supporting -- it's
21 hard -- it's hard to be overly certain or
22 confident about which of those two things is most

1 important, and I would just say that, from the
2 reading I've done, that the association --
3 individuals that have AGAT deficiency can also
4 have some of the same problems. There's no
5 finding in GAMT deficiency that you -- that has
6 not been described also in AGAT or creatine
7 transporter defect. So, it's a little bit tricky
8 to say this is guanidinoacetate causes this, low
9 creatine causes this. But what we do know is
10 that the combination is not good.

11 We've heard that there are several -
12 - that there are a variety of DNA variants that
13 have been described. There's only one gene
14 that's associated with this, but that gene can
15 have many different variants and there are many
16 that are undescribed, and probably more than half
17 are what we call private or very rare and not
18 sort of prevalent in a particular population.
19 Although there are one or two that have been
20 shown to be somewhat more prevalent and then the
21 birth prevalence you've seen, based on the
22 newborn screening programs in New York and Utah,

1 which are right on the order. The most likely
2 number is about 1 in 500,000, but that could
3 range from much less than that to perhaps as high
4 as 1 in 140,000 births. May I have the next
5 slide, please?

6 The clinical symptoms you've heard
7 about, the onset of symptoms is often described
8 retrospectively to have been noticeable at 3 to 6
9 months, sometimes as late as 2 years. With that
10 said, the clinical data -- the literature is very
11 clear that clinical diagnosis is often delayed
12 and can range from the neonatal period, if
13 there's a family history, to well into adulthood,
14 and the neurocognitive outcomes are poor.

15 There -- there is variability as
16 there is with every genetic disorder, but
17 untreated neurocognitive outcomes are poor.

18 The findings are somewhat
19 nonspecific, which is the likely explanation for
20 the typical delay in getting to the diagnosis
21 clinically and unfortunately, as you've heard
22 already, the majority of individuals who are

1 identified clinically, at least up until now, are
2 -- have permanent brain injury by the time of
3 diagnosis is made.

4 And then, as Dr. Kemper noted, life
5 expectancy may be limited due to complications,
6 but it's not clear that the underlying disease
7 process limits lifespan, nor is it clear whether
8 there's -- whether people continue to have
9 neurodegeneration over time. At least, it's not
10 clear to me. May I have the next slide, please?

11 Just a couple of points about
12 screening and the confirmatory diagnosis. The
13 population-based screening you've heard about, I
14 think it's important to point out that Utah uses
15 an un-derivatized method and New York uses a
16 derivatized method for measuring guanidinoacetate
17 and creatine. And the importance of that is that
18 those are the two important sort of dichotomous
19 points for the existing mass spec screening
20 programs across the country. So, every state can
21 do -- some states do un-derivatized, some states
22 do derivatized. Regardless, a lab that using

1 those methods should be able to add this with
2 some effort, but it shouldn't require starting
3 over from scratch with their entire process, at
4 least in regards to derivatization.

5 The other thing to point out is that
6 both of those programs have determined that the
7 primary screen has so few reports, that a second-
8 tier test is probably not necessary, and that
9 neither one of them is currently using a second-
10 tier test.

11 Mr. Ojodu told us that maybe half of
12 labs would think that have not started think that
13 a secondary -- a second-tier test would be
14 necessary, but experience suggests that that may
15 not be the case.

16 And then, the definition of an
17 abnormal screening test is guanidinoacetate and
18 guanidinoacetate to creatine ratio that are above
19 a cutoff value.

20 Method development and validation,
21 as Mr. Ojodu told us, is quite variable from
22 state to state and currently there are no FDA-

1 approved kits available. But, as Dr. Kemper
2 mentioned that there is certainly possible that
3 existing kits could be modified -- existing FDA
4 kits could be modified to without probably too
5 much trouble to create an FDA-approved kit in the
6 future, but that is not currently available.

7 And then the final point to make
8 about -- around confirmatory testing is that
9 while most people feel like they know how to
10 identify GAMT deficiency, we've not been able to
11 identify a consensus case definition that would
12 be necessary for long-term follow-up programs to
13 use for their data collection. It does seem to a
14 clinician that this is not a difficult diagnosis
15 to make if you know to look for it.

16 The other thing to point out is that
17 the diagnostic testing should use plasma and not
18 urine, as there has been -- it has been shown
19 that urinary guanidinoacetate concentrations are
20 quite variable and can lead to missed cases. So,
21 that should not be used as the diagnostic test.

22 And then genetic analysis is

1 strongly supportive of the diagnosis and there
2 may be times where it is necessary, although it
3 is likely that many cases can be confidently
4 treated without having or waiting for molecular
5 test results to come back. May I have the next
6 slide, please?

7 Just to remind you, the treatments
8 that are available, there's two primary focuses.
9 One is to replace central nervous system creatine
10 by giving creatine supplements and that has been
11 shown to -- or that is thought to be effective.

12 And the second is to try to minimize
13 the production of this presumed toxic molecule
14 guanidinoacetate or guanidino acidic acid by
15 doing a couple of things. Number one, you give
16 ornithine, which because it's an enzymatic --
17 this is an enzymatic reaction that makes
18 ornithine and guanidinoacetate. If you have high
19 levels of both of the products, that should tend
20 to slow or reduce the flow through that enzyme.
21 So, by giving ornithine, you are providing
22 product inhibition of the enzyme.

1 By minimizing arginine by dietary
2 restriction, you are minimizing the substrate for
3 the enzyme, which should also slow the enzyme
4 activity and finally, benzoic acid pulls glycine
5 -- benzoic acid gets -- binds to glycine to make
6 something hippuric acid that's excreted in the
7 urine. So, again you're reducing glycine by
8 giving benzoate, reducing arginine by limiting
9 protein in the diet, and then replacing ornithine
10 and guanidinoacetate is already high. So, all of
11 that should tend to push this reaction away from
12 making more guanidinoacetate.

13 I think it's worth pointing out that
14 there is no literature -- there's really not
15 compelling literature that defines the magnitude
16 of the effect of any of these treatments. May I
17 have the next slide, please?

18 And that really reflects, I think,
19 several things. The fact that this is an ultra-
20 rare disease and also points to this is one of
21 many reasons that the rare disease community, of
22 which I am part, we have to do a better job of

1 defining outcomes and defining treatments for
2 these conditions to help our families who are --
3 and advocates who are nominating conditions. We
4 need better data than what we have, and this is a
5 great example of that. Partly, we don't have the
6 data because it's very rare but partly we don't
7 have the data because the publications that have
8 -- the publications were not as good as they
9 might have been, or could have been if people in
10 the rare disease community, like myself, were
11 more thoughtful about what we're trying to
12 accomplish when we publish these papers.

13 In terms of the -- again there's no
14 formal treatment guidelines published -- there
15 are expert opinions published. The care team for
16 an individual affected is primarily defined by
17 those individuals that have abnormal neurological
18 development. And so, with newborn screening,
19 it's likely that what you -- that probably the
20 needs for follow-up would be based on what a
21 healthy child would need and you would respond to
22 any symptoms that arose in terms of standardized

1 monitoring and screening. You would definitely
2 need to have a team that's including a dietitian
3 who's familiar with the use of therapies and a
4 protein-restricted diet and how to do that
5 safely.

6 But then everything else is going to
7 probably be based on the -- on the symptoms that
8 the individual develops. There is periodic
9 screening for guanidinoacetate that is
10 recommended and creatine. The use of MRS as a
11 monitoring tool to show normalization of central
12 nervous system. Creatine has not been
13 demonstrated but has not -- but has been not
14 demonstrated either. We just don't know.

15 And finally, I don't think we've
16 mentioned this, but high doses of creatine in
17 weightlifters have been associated on occasion
18 rarely with kidney injury. And so, there is a
19 recommendation for monitoring of kidney function
20 to minimize the risk of injury to the kidneys
21 from the therapy and gene therapy and other
22 potential therapies are very early in development

1 and probably not -- should not impact the
2 decision at this time. May I have the next
3 slide, please?

4 So, putting it together, the benefit
5 to affected infants and children. The benefit to
6 individuals with GAMT deficiency based on a very,
7 very limited literature that showed that older
8 siblings diagnosed clinically all had
9 developmental issues that range from mild to
10 severe but mostly are moderate to severe, and I
11 think that Ms. Wallis' family that we saw
12 earlier, is a very good example of what one would
13 expect to see in families that have GAMT
14 deficiency. So, even though that's a single
15 anecdote, I think it is likely reflective of what
16 the literature and the expert opinion together
17 have a belief to be true.

18 I want to talk about the case
19 reports of the 8 younger siblings who were
20 identified because of an older sibling and
21 treated before 6 months of age; 7 of the 8 are
22 reported to have normal development. Almost all

1 of those that were not even a year old at the
2 time the report was published. So, we don't have
3 long-term developmental data on those
4 individuals, and it would be very, very helpful
5 to have that.

6 However, the 7 of 8 that had what
7 was reported to be completely normal development,
8 even though there was no standardized approach to
9 assessing that development, is very -- does point
10 very strongly to the benefit of treatment. The 1
11 infant that was described who was treated at 8
12 days of life and at 11 months of age, was noted
13 to have hypotonia and developmental delays. The
14 implication could be that that the treatment is
15 not 100% effective. It could be, as the author
16 speculated in that paper, that maybe the
17 treatment was not applied. But the other thing I
18 think it's important to point out from these
19 kinds of case reports is that that those children
20 -- that both siblings were homozygous for the
21 same mutation, which raises the question in that
22 family whether there may have been other genetic

1 factors that were shared by the parents that are
2 contributing to the developmental outcome in
3 those children. And one small piece of evidence
4 to support that possibility is that in the older
5 sibling, there were some MRI findings that have
6 not been typically described in GAMT deficiency.
7 So, while that 1 out of the 8 cases is -- does
8 cause us to be careful to not overstate the value
9 of treatment, I think it's also possible that
10 there are other factors in that case, and I don't
11 consider that a significant -- a significant sort
12 of question mark about the effectiveness of
13 therapy from my perspective. May I have the next
14 slide, please?

15 So again, we think that reasonable
16 assertions based on the limited data or that pre-
17 symptomatic therapy is most often associated with
18 normal neurologic development. Treatment is
19 likely associated with better neurological
20 outcomes, even in children identified late, in
21 terms of cognitive development and function and
22 that both of those should correlate with improved

1 quality of life, although we do not have
2 available to us quality of life data to prove
3 that.

4 And, in summary, it appears that
5 earlier initiation of treatment likely maximizes
6 benefits of therapy, whether that is pre-
7 symptomatic or after symptoms have developed.
8 The sooner treatment starts, the better. Pre-
9 symptomatic treatment appears to be best. May I
10 have the next slide, please?

11 Potential harms of this are again
12 primarily related to individuals that do not have
13 GAMT deficiency. So, the rest of the population,
14 false positives appeared to be a very low concern
15 because there is very -- it appears to be
16 reliable confirmatory testing that's widely
17 available unlike some other conditions that we've
18 discussed, there really don't appear to be
19 indeterminate results. There are unlikely to be
20 children who confirmatory testing can't determine
21 whether they're affected or not. And so, that
22 means that it's unlikely that anyone will be

1 treated who won't benefit from treatment.

2 The potential for being lost to
3 follow-up is low. And really, the only -- even
4 that "other" category, you know, if you have a
5 different diagnosis that is flagged because of
6 the guanidinoacetate testing, that's actually a
7 positive. But those families in whom the baby
8 dies before the abnormal newborn screening is
9 found where there may not be the possibility of
10 confirming the diagnosis, that is a potential
11 harm that we don't want to completely disregard
12 because those families will then not know whether
13 they are -- whether they are at risk of having an
14 affected child in the future. So, that is a very
15 small, but potentially real potential harm.

16 And also, we just want to point out
17 that the cost and burden of confirmatory testing
18 for this condition is probably lower than for
19 many other conditions on the panel. And so,
20 that's also an important factor.

21 No false negatives have been
22 reported.

1 And again, the lack of a clear case
2 definition, I don't think is a major barrier or
3 potential harm. May I have the next slide,
4 please?

5 So, finally, I just want to walk
6 through the projections that Dr. Prosser showed
7 us based on 3.6 million births annually in the
8 US, if we were to -- if GAMT screening were to be
9 occurring in every state in the United States.
10 We would expect to identify about 7 cases per
11 year is the most likely number. It could be as
12 low as 2, it could be as high as 18 based on the
13 data we have available.

14 But that 1 out of -- so, 1 out of 13
15 infants with a positive screen will be diagnosed
16 with GAMT deficiency, which means that 12 out of
17 13 will have some other explanation, one of which
18 could be another thing, but more likely, these
19 will be false positives and so that false
20 positive -- it's not true -- the true false
21 positive rate and the reason for that is that the
22 false positive rate is extremely low for this

1 because the screening test is very good. And so,
2 the total number of positive screens reported is
3 very, very low. So, the false positive rate for
4 all babies screened is going to be extremely low,
5 but the rate of false positives among those who
6 flag, who have a positive screening test is on
7 the high end of what's currently -- if you look
8 across the board at newborn screening conditions
9 right now, the -- got the true -- the ratio of
10 true positives to false positives is a little bit
11 on the -- the ratio of false positives to true
12 positives from the positive screens is a little
13 bit higher than is typical, but still in the sort
14 of the ballpark of what we have been thinking
15 about recently for what's acceptable for newborn
16 screening.

17 And I think I've already addressed
18 the other two points there -- bullet points
19 there. So, may I have the next slide?

20 I will turn the rest of this talk
21 over to Dr. DeLuca.

22 JANE DELUCA: Thank you, Dr.

1 McCandless. I want to thank Dr. Kemper and Mr.
2 Ojodu and Dr. Prosser for all their help in
3 gathering and analyzing evidence and I also
4 wanted to talk and just thank Dr. Powell for her
5 leadership and help with preparing our
6 presentation for the Committee.

7 So, we'll start with describing the
8 issues and net benefit and balance of benefit and
9 harms as it pertains to certainty about
10 presenting the evidence. Now, we use this
11 example here with the balancing stones structure.
12 So, next slide, please.

13 One of the first things we thought
14 about when it came to balance of benefit and harm
15 that can occur within a broadly screened
16 population is that is it possible to perceive
17 benefits and harms that could include different
18 persons within a population or a specific group.

19 Is there a group that is different,
20 you know, within our population that may actually
21 have more harms and more burden because of
22 screening?

1 So, from the evidence we have, it
2 doesn't appear that guanidinoacetate
3 methyltransferase deficiency would affect one
4 select group over another, you know, if no -- if
5 there's international cases that have been
6 identified.

7 However, we have small numbers of
8 cases to draw from. So, it's possible. At this
9 point, we just don't know. In the future, we may
10 be able to have to think about this again and
11 whether there are some specific characteristics,
12 which bring a certain group to the forefront.
13 Next slide, please.

14 So, is there a significant net
15 benefit for compulsory population newborn
16 screening. So, limited evidence suggests there
17 is significant benefit for children who receive
18 therapy early. Babies can incur substantial
19 benefits by being treated early, that is treated
20 pre-symptomatically. Treating before a diagnosis
21 is secure or definitively made does not seem to
22 be an issue for GAMT deficiency. The diagnostic

1 studies are accurate. So, there should be a low
2 risk of harm for treating patients that do not
3 need treatment. The treatment itself, diet, and
4 medications are well known within the medical
5 treatment world.

6 From the current evidence, it does
7 not appear that indeterminate cases occur. There
8 appears to be a low risk of potential harm for
9 families for the status of classification that's
10 a company by prolonged monitoring when there's no
11 diagnosis.

12 The diagnostic studies are reliable.
13 That is not to say that if widespread GAMT
14 screening is adopted, that an indeterminate case
15 may be identified with mildly out of range
16 creatine or guanidinoacetate levels, which would
17 need to be addressed in the future.

18 So, for net benefit and certainty,
19 it came down to two possibilities, high certainty
20 of significant benefit. There is a high
21 certainty of significant benefit that would occur
22 or moderate certainty of significant benefits,

1 and if they're moderate certainty, that's
2 significant -- the screening would have
3 significant benefits. Next slide, please.

4 So, we made the decision that the
5 existing evidence for guanidinoacetate
6 methyltransferase, the designation that fits best
7 is moderate certainty. The available evidence is
8 deemed sufficiently compelling to determine the
9 effects of early detection and treatment of
10 newborn babies and on targeted health outcomes.
11 But confidence in the evidence was hampered by a
12 number of factors.

13 These estimated constraining factors
14 or basically the evidence is the number of
15 studies, the quality of the studies, study
16 quantity, quality is not robust, and consists
17 primarily of case studies and expert opinion.

18 There are moderate limitations in
19 terms of evidence being able to be general
20 generalizable for early detection to newborn
21 screening.

22 So, the designated choice of B is

1 because the data is limited and largely based on
2 expert opinion, but if it seems substantial, but
3 the certainty is modest. Next screen -- next
4 slide, please.

5 Newborn screening for GAMT
6 deficiency feasibility and readiness. Newborn
7 screening tests are available and appropriate for
8 high throughput screening tests.

9 Most Public Health Departments would
10 require 1 to 3 years to implement screening. So,
11 we consider the development -- the readiness to
12 be developmental. This could be even if
13 resources were available because there could be
14 potential barriers due to validating methods and
15 refinements before a full screen implementation
16 could occur. States may struggle with adding
17 screening, so within a reasonable period of 1 to
18 3 years due to these challenges and methodology.

19 Clear case definition is needed, as
20 Dr. McCandless stated. The proportion of true
21 positive to all positive newborn screening
22 results in the range of conditions occur on the

1 RUSP is founded.

2 In terms of feasibility, it is
3 likely a moderate range of feasibility.

4 Screening programs implementation is probably
5 possible and within the financial limitations of
6 most state health departments. Treatment costs
7 for follow-up would be reasonable. Follow-up
8 resources are thought to be mostly adequate to
9 demand. Treatment is reasonable and within the
10 range of other treatments that now exist for
11 metabolic conditions.

12 Expansions may be needed for
13 training and personnel, follow-up in unforeseen
14 issues may also occur, and I'm thinking of the
15 demands of the pandemic and pull on public health
16 resources during those types of -- those types of
17 times. So, next slide, please.

18 So, here is just a picture of the
19 matrix in terms of our findings so far. We have
20 a designation of B for significant benefit and
21 moderate certainty. We have developmental
22 readiness and moderate feasibility for GAMT

1 deficiency. Next slide, please.

2 So, newborn screen screening for
3 GAMT meets the criteria for the matrix category
4 B2. Developmental readiness for newborn
5 screening programs to enact GAMT screening is
6 developmental and that could be very varied
7 across programs and most states could add MS/MS
8 tandem mass spec approaches, but the lack of an
9 FDA-approved kit increases time and cost
10 implementation in terms of feasibility.

11 Now, the addition to the RUSP of
12 GAMT may facilitate adding these metabolites to
13 existing kits. Next slide, please.

14 So, we came to the conclusion that
15 we recommend that GAMT deficiency should be added
16 as a core condition to the RUSP.

17 What I'd like to do now is to open
18 up discussion among the Advisory Committee
19 members.

20 CYNTHIA POWELL: Thank you, Dr.
21 DeLuca and Dr. McCandless and, yes, will now open
22 it to Committee Member discussion.

1 seems to be in line with other conditions already
2 on the RUSP and I recognize that it's going to
3 take a while for many states to get this going.

4 But I think it's reasonable to add
5 it to the recommendations and let the states work
6 through that over the next couple of years.

7 CYNTHIA POWELL: Thank you. Scott
8 Shone.

9 SCOTT SHONE: Thank you, Dr. Powell.
10 I think -- I think, Dr. Brothers, you ended your
11 statement with a perfect segue to what I want to
12 say, which is that, you know, we've heard several
13 times in the last few minutes, I guess last hour,
14 around the challenges with getting this assay up
15 and running and I think that that can't be
16 overstated because, you know, we had a
17 presentation earlier about the volume of RUSP
18 alignment legislation that's sweeping across the
19 country that requires states to add conditions
20 within two to three years of them being on the
21 RUSP and we just said, this is going to be a
22 monumental challenge for states to do that. So,

1 we have a very big disconnect because this is not
2 states not wanting to add it, this is a technical
3 issue that needs to be worked out. We've said
4 this is a developmental readiness.

5 So, I think that there needs -- you
6 know, you have a state -- a strong state of
7 Michigan who's been working on this doggedly to
8 get this done and isn't. So, I do hope that the
9 assertion that commercial vendors would want to
10 pursue this comes to fruition. I worry that
11 we're pinning -- like we're pinning on a RUSP
12 approval that a vendor will -- will make an
13 investment in something. But I do understand the
14 finance of newborn screening.

15 I just want to say to Shawn and
16 Jane, first of all, Jane, Happy National Nurse's
17 Week. Thank you to you and your colleagues who
18 take care of all of us, no matter whether it's
19 newborns or beyond. So, thank you so much.

20 You know, I just felt like there was
21 a lot of likely -- there's been throughout a lot
22 of likelys, and insufficients, and to be

1 determined in a lot of this, and I think, Kyle,
2 you just really answered that of, you know, it's
3 an ultra-rare condition and, you know, newborn
4 screening will find that. It feels a little bit
5 -- I'm not going to say it's research -- but it
6 just feels like it's -- it's -- it's in that like
7 where are we zone. So, I don't know if Jane or
8 Shawn, if you can comment a little bit on any of
9 that and particularly the state readiness and
10 your thoughts juxtaposing that with these
11 requirements -- legislative requirements that are
12 going to be a big challenge. I think we need to
13 acknowledge that, and I think that that, you
14 know, that was teased out have been in the -- in
15 the Public Health Systems Impact.

16 CYNTHIA POWELL: Jane or Shawn,
17 would you like to comment?

18 SHAWN MCCANDLESS: No, thank you.
19 I'm just kidding, Scott. That's obviously a
20 tough question. But, I think that my opinion, as
21 I've said before and anybody who knows me will
22 know that I think that newborn screening

1 decisions about implementation, what should be
2 screened, and what should not be screened, how
3 state labs should operate, that should not be
4 handled by legislative administrative assistants
5 who are primarily the ones who are making the
6 decisions right now. It's usually the incentives
7 are not appropriate and what's driving those
8 decisions is not appropriate and it's just not
9 the right way to implement a compulsory
10 population-based public health system. It's just
11 not and likewise to your point, you know, that I,
12 as much as I like it, I think there's some --
13 there's something that's attractive about saying
14 in a state, if the -- if this thoughtful process
15 decides that this condition should be screened,
16 that is -- that is reason enough for us to add it
17 to our program. But to then not fund the work
18 that needs to be done to make that happen and to
19 not provide the resources that they need to make
20 that happen and to not recognize that a
21 legislative body is not equipped to set a
22 deadline for when that should happen, at least

1 scientifically not equipped, that becomes very
2 problematic, and I think that we really, you
3 know, I don't think it should impact our decision
4 making, but it should impact our advocacy as
5 we're -- if we have opportunities to speak with
6 our government affairs experts and with -- if we
7 have opportunity to meet with legislators, we
8 just need to educate them about the about the
9 reality of this amazing program. It's amazing
10 because of the hard work and effort that people -
11 - that so many good people have put into it, but
12 it's not amazing because somebody passed a law
13 that said this needs to happen, and that actually
14 has the risk of making things worse, not better,
15 in my opinion. And that -- my opinion represents
16 only my opinion. It does not represent HRSA, it
17 does not represent anybody else on this
18 Committee, it does not represent the institution
19 I work for. It probably doesn't even represent
20 my family's opinion, So, just going on record
21 there.

22 CYNTHIA POWELL: Kellie Kelm.

KELLIE KELM: Yes, I wanted to tag a little bit onto what Scott just raised in terms of the test, you know, and the discussion of number one, obviously, you know, in many cases the issues with an FDA-cleared test, modifying a cleared test that a state has and then obviously Michigan struggling with their own development.

The only thing that I think is really interesting though, and I don't know if Jelili can speak to this or anybody else, is that the survey of the states for their readiness seemed to have matched a lot of the other ones that we've discussed in the last few years in terms of time. So, I didn't necessarily see a hesitation from states in that survey unless there was something in there that -- that -- some nuance that I was missing. But, I don't know if Jelili can speak to that or Scott or somebody else if I'm missing something, because the survey doesn't seem to capture, you know, that -- that concern. Thank you.

CYNTHIA POWELL: I don't know if

1 Jelili is still on or Alex, if you want to.

2 ALEX KEMPER: I mean, I -- I'll just
3 comment that that your interpretation of the
4 survey and the survey results were correct. What
5 I'll say though is the people who run newborn
6 screening programs are really kind of amazing,
7 you know, heroes who, you know, sort of can do,
8 you know, and want to take care of things. So, I
9 think you always need to be a little cautious
10 when you get information about how long they
11 think it'll take them to adopt things. But
12 otherwise, you're correct in terms of it is in
13 line with other ones that we've done. Others,
14 Jelili, maybe you can comment further.

15 JELILI OJODU: Yeah. Thank you, Dr.
16 Kelm, for the question. There has been -- you
17 are right. There wasn't anything particularly
18 specific about this survey in comparison to other
19 surveys that we've done. But there is a
20 disconnect between when states say they're going
21 to be able to bring on conditions and the reality
22 of what happens. Again, you know, you can use

1 the last four conditions as examples of how long
2 that it's taken for states to be able to bring on
3 Pompe since 2015. I think there are about 30
4 states that screen for Pompe. SCID took 10 years
5 for all states to be able to screen for it. MPS-
6 I and X-ALD came on in 2016, and I think there
7 are about 32 states screening for it. I mean,
8 everything is relative. SMA was brought on in
9 2018 and about 42 states screen for it. So,
10 we're trying to better understand the correlation
11 between what they say in the state newborn
12 screening programs, and, in fact, what happens in
13 reality, and the reality is that it's -- it's
14 different for all of the things that's caught, as
15 Dr. Shawn mentioned earlier. Thanks.

16 CYNTHIA POWELL: Scott Shone.

17 SCOTT SHONE: I'll just say that,
18 thank you, Jelili, the difference is just what
19 we're talking about, which is the power of the
20 technological shift, right? So, the shift to
21 SCID was the paradigm change took 10 years to
22 implement across the country going to molecular,

1 but SMA was relatively easier, not easy, easier
2 to add because it's just multiplexing on SCID.
3 ALD, we needed to do second -- we needed to do
4 second-tier. I mean, so that -- so, it is -- I'm
5 just -- let me back up and just say these are
6 somewhat caveats to the underlying issue here,
7 which is that there is evidence presented of
8 benefit of identifying these babies in the
9 newborn period and that there is an effective
10 therapy that can be applied that has evidence of
11 positive outcomes, that there is benefit over
12 harm. So, I'm going to say that clearly, right,
13 because I don't -- I don't want to get derailed.
14 But I just want to be clear that unlike -- unlike
15 SMA, this is going to fall potentially like a
16 SCID or something else that is going to take time
17 while programs are working their doggedness to
18 get it running. That's -- that's really my --
19 it's more of a statement than a criticism of the
20 evidence review. I think the evidence review is
21 good. Again, thank you to the team who did that.

22 CYNTHIA POWELL: Shawn McCandless.

1 SHAWN MCCANDLESS: Thank you and I
2 don't -- I'm not -- I don't want to respond to
3 what anything that Dr. Shone said. Actually, I'm
4 changing the subject and so maybe if Dr. Cuthbert
5 is going to respond to Scott, maybe we should let
6 her go first.

7 CYNTHIA POWELL: Yes. Carla
8 Cuthbert.

9 CARLA CUTHBERT: Yeah. I just
10 wanted to -- to react just very, very, you know,
11 I've been following this and I -- I largely agree
12 with what most people are saying. I think that,
13 you know, as we consider bringing on some of
14 these new tests, we are always going to be faced
15 with the is there -- is there an FDA-approved
16 test and, if not, you're going to need to figure
17 out how to do it on your own internally. So, if
18 it's modification of an existing test, which is
19 what some of the states may be facing, the
20 difference between TREC and SCID and adding on
21 SMA is that you're really only dealing with one
22 small number, you know, the TREC biomarker and

1 its internal standard, whatever you are using
2 there. But with -- with this platform, you're
3 looking at a lot of biomarkers, and so there are
4 a lot of things to keep track of and to make sure
5 that you are now being responsible for all of
6 those other biomarkers. So, that tends to be a
7 little -- a lot trickier and, you know, in the
8 case with Michigan and, you know, I don't
9 specifically want to speak for them without them
10 being here, but again, you know, if there is an
11 interference, and I believe that they were using
12 one transition, you know, they -- they were
13 working at that, then tracking that, then there
14 was a problem again and, you know, that -- that
15 felt a bit iterative until they finally were able
16 to use three different transitions and had a
17 specific requirement to have them all be modified
18 to make the call.

19 So, you know, it -- there are added
20 levels of complexity when you're adding on or
21 multiplexing with a very, very large test that
22 has a lot of biomarkers.

1 So, this is the space that we're
2 living in. It's not that, you know, we like this
3 necessarily, but these are the real challenges
4 whenever we are going to be called to add
5 additional biomarkers. Thank you.

6 CYNTHIA POWELL: Shawn McCandless.

7 SHAWN MCCANDLESS: Thanks. I
8 actually do want to -- I want to thank Dr.
9 Cuthbert for that, because you raise a point that
10 I don't think I've heard in this setting before
11 and that is that multiplexing a test is great,
12 but the more things you add, it gets harder and
13 harder to add more things and at some point, it
14 becomes asymptotic, right? You reach a point
15 where you can't really add more to the test
16 you're already doing by additional multiplexing.
17 And I think that some of the data that we saw
18 earlier from your colleague at the CDC sort of
19 pointed towards that, where you start to have,
20 you know, internal standards for one compound
21 with fragments that overlap with diagnostic
22 compounds for another condition.

1 You're going to reach a point we
2 where you just -- you can't really multiplex --
3 you can't add more conditions or you can't add
4 this condition, maybe this one -- this analyte
5 will work, but this one won't. It's a really
6 interesting concept, and it would be -- it'd be
7 great if some -- if some scientist who is
8 interested in newborn screening could do some
9 modeling to give us, so that we can have some
10 guidelines about sort of what would be realistic
11 to expect.

12 Now, I will change the subject. I
13 think this condition is really interesting. It
14 gives a really interesting perspective on the
15 sort of net benefit question, because when I
16 think about other conditions that have been
17 discussed, there are -- there's not a lot of
18 data. But, it really -- what little bit of data
19 there is and what the expert opinion tells us and
20 clinical experience tells us is that the
21 magnitude of the effect for affected individuals
22 in this condition is very large, maybe bigger --

1 this is almost like PKU large maybe even better
2 than PKU frankly, because the outcomes of PKU --
3 the long-term haven't been as great as we would
4 like them to be.

5 It's also true that that may be true
6 for GAMT deficiency as well. We don't know yet
7 because we don't have data. But, that's okay. I
8 think it's really interesting that we can end up
9 with a recommendation of a B-2 for a variety of
10 different reasons. Sometimes it's just that it
11 really comes down to the evidence -- the lack of
12 -- the lack of evidence, but it can also be that
13 there's more variability in response to the
14 treatment or that the response to treatment is
15 partial, it's not complete. So, the net benefit
16 is a really interesting question and I think it's
17 sort of -- I think it would be valuable for this
18 group to continue to do some fine-tuning to our
19 matrix and our thinking about how we define the
20 net benefit to just sort of really try to capture
21 the nuance of, you know, in addition to the -- to
22 the inadequacy of the data to capture the nuance

1 of the benefit to affected individuals of
2 treatment and the magnitude of that benefit.

3 CYNTHIA POWELL: Thank you.

4 SHAWN MCCANDLESS: I'll stop there.

5 CYNTHIA POWELL: Any other comments
6 or questions from the Committee? Okay, so
7 hearing none, it's time for the Committee to move
8 ahead with a motion. The motion would be whether
9 to accept or not accept the recommendation both
10 the rating and recommend or not to the Secretary.
11 Anyone want to make a motion?

12 KYLE BROTHERS: This is Kyle
13 Brothers. I move that we accept the
14 recommendation to classify GAMT as B-2 and that
15 we recommend to the Secretary that GAMT
16 deficiency be added to the Recommended Uniform
17 Screening Panel.

18 CYNTHIA POWELL: Is there a second?

19 SHAWN MCCANDLESS: This is Shawn
20 McCandless. Oh sorry, go ahead.

21 JANE DELUCA: I second the motion.

22 CYNTHIA POWELL: Okay.

1 SHAWN MCCANDLESS: Kyle, this is
2 Shawn McCandless. May I add to that, with the
3 recommendation being that it be added as a
4 primary condition to the Recommended Uniform
5 Screening Panel.

6 KYLE BROTHERS: Thank you, yes.

7 SCOTT SHONE: I think you mean core,
8 right? Core condition?

9 CYNTHIA POWELL: Yeah, core
10 condition would be the working. Thank you.

11 SHAWN MCCANDLESS: Thank you.

12 CYNTHIA POWELL: Any additional
13 comments, before we vote? All right. So, we're
14 voting on the motion to accept the B-2 rating and
15 to recommend that GAMT be recommended for
16 addition to the RUSP as a core condition and this
17 recommendation would go to the Secretary.

18 I'll now read through the members of
19 the Committee. Please state -- if you are in
20 favor of the motion, please state in favor. If
21 you're not in favor of the motion, please state
22 not in favor, and also let us know if you need to

1 abstain.

2 And I'm also supposed to ask does
3 any Committee member have a conflict of interest
4 regarding this vote and the need to recuse
5 themselves?

6 Okay, Kyle Brothers.

7 KYLE BROTHERS: In favor.

8 CYNTHIA POWELL: Carla Cuthbert.

9 CARLA CUTHBERT: In favor.

10 CYNTHIA POWELL: Jane DeLuca.

11 JANE DELUCA: In favor.

12 CYNTHIA POWELL: Kellie Kelm.

13 KELLIE KELM: In favor.

14 CYNTHIA POWELL: Jennifer Kwon.

15 JENNIFER KWON: In favor.

16 CYNTHIA POWELL: Shawn McCandless.

17 SHAWN MCCANDLESS: In favor.

18 CYNTHIA POWELL: Kamila Mistry, I
19 believe, is still not available. Melissa Parisi.

20 MELISSA PARISI: In favor.

21 CYNTHIA POWELL: Chanika

22 Phornphutkul.

1 CHANIKA PHORNPHTKUL: In favor.

2 CYNTHIA POWELL: Cynthia Powell, I
3 vote in favor. Scott Shone.

4 SCOTT SHONE: In favor.

5 CYNTHIA POWELL: Michael Warren.

6 MICHAEL WARREN: In favor.

7 CYNTHIA POWELL: The Committee has
8 voted in favor of recommending adding GAMT
9 deficiency to the RUSP. I will prepare a letter
10 for the Secretary with the recommendation from
11 the Advisory Committee.

12 Please remember that the Secretary
13 makes the final decision on whether or not to
14 accept the Committee's recommendation. This
15 decision will be posted on the Committee's
16 website.

17 I would like to thank everyone
18 involved in the nomination, Evidence-Based
19 review, and decision-making process, including
20 members of the Committee, the Expert Review
21 Group, and the Technical Expert Panel -- I'm
22 sorry, the Evidence Review Group and the

1 Technical Expert Panel.

2 Thank you all and that ends day one
3 of our meeting. We'll reconvene tomorrow at 10
4 a.m. Eastern time. See you then.

5

6 [Whereupon the meeting was adjourned.]