

1 The Advisory Committee on Heritable Disorders in  
2 Newborns and Children

3

4

HRSA Meeting

5

6

7

8

Washington, D.C.

9

10

11

12

13

August 02, 2018

14

15

9:00 a.m. - 3:00 p.m.

16

17

18

19

20

21

22

## 1                   A P P E A R A N C E S

## 2   COMMITTEE MEMBERS:

3   MEI BAKER, M.D., Professor of Pediatrics,  
4   University of Wisconsin School of Medicine and  
5   Public Health, Co-Director, Newborn Screening  
6   Laboratory, Wisconsin State Laboratory of  
7   Hygiene

8   SUSAN A. BERRY, M.D., Professor and Director,  
9   Division of Genetics and Metabolism,  
10   Department of Pediatrics and Genetics, Cell  
11   Biology & Development, University of Minnesota

12   JOSEPH BOCCHINI, JR., M.D. (Chairperson),  
13   Professor and Chairman, Department of  
14   Pediatrics, Louisiana State  
15   University

16   JEFFREY P. BROSCO, M.D., Ph.D., Professor of  
17   Clinical Pediatrics, University of Miami School  
18   of Medicine, Department of Pediatrics, Deputy  
19   Secretary, Children's Medical Services, Florida  
20   State Department of Health

21   CYNTHIA M. POWELL, M.D., Professor of Pediatrics  
22   and Genetics, Director, Medical Genetics

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 Residency Program, Pediatric Genetics and  
2 Metabolism, The University of North Carolina  
3 at Chapel Hill

4 ANNAMARIE SAARINEN, Co-Founder, CEO, Newborn  
5 Foundation

6 SCOTT M. SHONE, Ph.D., Senior Research Public  
7 Health Analyst, RTI International

8 BETH TARINI, M.D., M.S., FAAP, Associate  
9 Professor and Division Director, General  
10 Pediatrics & Adolescent Medicine, University of  
11 Iowa Hospitals & Clinics

12

13 EX-OFFICIO MEMBERS:

14 CARLA CUTHBERT, Ph.D., Centers for Disease  
15 Control and Prevention, National Center for  
16 Environmental Health

17 KELLIE B. KELM, Ph.D., Food and Drug  
18 Administration, Division of Chemistry and  
19 Toxicology Devices

20 MELISSA PARISI, M.D., Ph.D., National Institutes  
21 of Health, Eunice Kennedy Shriver National  
22 Institute of Child Health and Human Development

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 JOAN SCOTT, Health Resources and Services  
2 Administration, Maternal and Child Health  
3 Bureau

4

5 DESIGNATED FEDERAL OFFICIAL:

6 CATHARINE RILEY, Ph.D., MPH, Health Resources and  
7 Services Administration, Genetic Services  
8 Branch, Maternal and Child Health Bureau

9

10 ORGANIZATIONAL REPRESENTATIVES:

11 NATASHA F. BONHOMME, Genetic Alliance

12 SIOBHAN DOLAN, M.D., MPH, March of Dimes,  
13 Department of Obstetrics & Gynecology and  
14 Women's Health, Albert Einstein College of  
15 Medicine and Montefiore Medical Center

16 DEBRA FREEDENBERG, M.D., Ph.D., American Academy  
17 of Pediatrics, Texas Department of State Health  
18 Services

19 CHRISTOPHER KUS, M.D., MPH, Association of  
20 State & Territorial Health Officials,  
21 New York State Department of Health

22 SHAWN E. MCCANDLESS, M.D., Society for Inherited

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 Metabolic Disorders, Genetics and Metabolism,  
2 Children's Hospital Colorado

3 JED L. MILLER, M.D., MPH, Association of Maternal  
4 & Child Health Programs, Office for  
5 Genetics and People with Special Health Care  
6 Needs, Maryland Department of Health Prevention  
7 & Health Promotion Administration

8 ROBERT OSTRANDER, M.D., American Academy of  
9 Family Physicians, Valley View Family Practice

10 SUSAN M. TANKSLEY, Ph.D., Association of Public  
11 Health Laboratories, Laboratory  
12 Operations Unit, Texas Department of State  
13 Health Services

14 CATE WALSH VOCKLEY, MS, CGC, National  
15 Society of Genetic Counselors, Division of  
16 Medical Genetics, Children's Hospital of  
17 Pittsburgh

18 MICHAEL S. WATSON, Ph.D., FACMG, American  
19 College of Medical Genetics

20

21 OTHERS:

22 SCOTT GROSSE, Ph.D., Centers for Disease Control

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 & Prevention

2 ALEX KEMPER, M.D., MPH, MS, Division Chief of  
3 Ambulatory Pediatrics, Nationwide Children's  
4 Hospital, Professor of Pediatrics, Ohio State  
5 University College of Medicine

6 K.K. LAM, Ph.D., Child Health Project Leader,  
7 CTSI Accelerator, Duke University

8 MARCI SONTAG, Ph.D., Director, NewSTEPS 360,  
9 Colorado School of Public Health, Director,  
10 Center for Public Health Innovation, CI  
11 International

12

13

14

15

16

17

18

19

20

21

## C O N T E N T S

22

PAGE

1	WELCOME	8
2	ROLL CALL	8
3	MAY 2018 MINUTES VOTE	12
4	SMA UPDATE	14
5	EVIDENCE-BASED REVIEW PROCESS	19
6	IMPLEMENTATION OF NEW CONDITIONS	20
7	RISK ASSESSMENT IN NEWBORN SCREENING	30
8	IMPROVING TIMELINESS IN NEWBORN SCREENING:	96
9	THE STORY BEHIND THE STORY	
10	PUBLIC COMMENTS	133
11	EDUCATION AND TRAINING WORKGROUP UPDATE	134
12	LABORATORY STANDARDS AND PROCEDURES	150
13	WORKGROUP UPDATE	
14	FOLLOW-UP AND TREATMENT WORKGROUP UPDATE	155
15	REPORT ON LONG-TERM FOLLOW-UP IN	173
16	NEWBORN SCREENING	
17	REPORT ON TECHNOLOGY IN NEWBORN SCREENING	224
18	NEW BUSINESS	230
19	ADJOURN	232

20

21                                   P R O C E E D I N G S

22                                   DR. JOSEPH BOCCHINI: Thank you,

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 Operator. Good morning, everyone. I would like  
2 to add my welcome to you. This is the third  
3 meeting of the Advisory Committee on Heritable  
4 Disorders in Newborns and Children for 2018. We  
5 will begin the meeting by taking a roll call.

6 So, going alphabetically: the Agency for  
7 Healthcare Research and Quality, Kamila Mistry?  
8 She may or may not be available this morning but  
9 will be on the call on and off during the --  
10 during the day.

11 (No audible response)

12 DR. JOSEPH BOCCHINI: Mei Baker?

13 (No audible response)

14 DR. JOSEPH BOCCHINI: If you'll answer  
15 with "here."

16 (No audible response)

17 DR. JOSEPH BOCCHINI: Susan Berry?

18 (No audible response)

19 DR. JOSEPH BOCCHINI: So, if your phone  
20 is on -- on mute, please unmute it. We're not  
21 hearing any responses.

22 Jeff Brosco?



1 DR. JEFFREY P. BROSCO: I'm here.

2 DR. JOSEPH BOCCHINI: Thank you. Centers  
3 for Disease Control and Prevention, Scott Grosse  
4 will be here this morning. Scott?

5 DR. SCOTT GROSSE: I'm here.

6 DR. JOSEPH BOCCHINI: Food and Drug  
7 Administration, Kellie Kelm?

8 DR. KELLIE B. KELM: Here.

9 DR. JOSEPH BOCCHINI: Health Resources  
10 and Services Administration, Joan Scott?

11 MS. JOAN SCOTT: Here.

12 DR. JOSEPH BOCCHINI: Cynthia Powell?

13 (No audible response)

14 DR. JOSEPH BOCCHINI: The National  
15 Institute of Health, Melissa Parisi?

16 DR. MELISSA PARISI: Here.

17 DR. JOSEPH BOCCHINI: Annamarie Saarinen?

18 (No audible response)

19 DR. JOSEPH BOCCHINI: Scott Shone?

20 DR. SCOTT M. SHONE: Here.

21 DR. JOSEPH BOCCHINI: Beth Tarini?

22 DR. BETH TARINI: Here.

1 DR. JOSEPH BOCCHINI: And our DFO,  
2 Catharine Riley?

3 DR. CATHARINE RILEY: Here.

4 DR. JOSEPH BOCCHINI: So, for our  
5 organizational representatives, American Academy  
6 of Family Physicians, Robert Ostrander?

7 DR. ROBERT OSTRANDER: Here.

8 DR. JOSEPH BOCCHINI: American Academy of  
9 Pediatrics, Debra Freedenberg?

10 DR. DEBRA FREEDENBERG: Here.

11 DR. JOSEPH BOCCHINI: American College of  
12 Medical Genetics, Michael Watson?

13 DR. MICHAEL S. WATSON: Hello, I'm here.

14 DR. JOSEPH BOCCHINI: American College of  
15 Obstetricians and Gynecologists, Britton Rink?

16 (No audible response)

17 DR. JOSEPH BOCCHINI: Association of  
18 Maternal and Child Health Programs, Jed Miller?

19 DR. JED MILLER: Here.

20 DR. JOSEPH BOCCHINI: Association of  
21 Public Health Laboratories, Susan Tanksley?

22 DR. SUSAN M. TANKSLEY: Here.

1 DR. JOSEPH BOCCHINI: Association of  
2 State and Territorial Health Officials, Chris  
3 Kus?

4 DR. CHRIS KUS: Here.

5 DR. JOSEPH BOCCHINI: The Department of  
6 Defense, Adam Kanis, is unavailable for this  
7 meeting.

8 Genetic Alliance, Natasha Bonhomme?

9 MS. NATASHA F. BONHOMME: Here.

10 DR. JOSEPH BOCCHINI: March of Dimes,  
11 Siobhan Dolan?

12 DR. SIOBHAN DOLAN: Here.

13 DR. JOSEPH BOCCHINI: National Society of  
14 Genetic Counselors, Cate Walsh Vockley?

15 MS. CATE WALSH VOCKLEY: Here.

16 DR. JOSEPH BOCCHINI: Society for  
17 Inherited Metabolic Disorders, Shawn McCandless?

18 DR. SHAWN MCCANDLESS: Here.

19 DR. JOSEPH BOCCHINI: Thank you. Let's  
20 just go back and check for Mei Baker?

21 (No audible response)

22 DR. JOSEPH BOCCHINI: Susan Berry?

1 (No audible response)

2 DR. JOSEPH BOCCHINI: Annamarie Saarinen?

3 (No audible response)

4 DR. JOSEPH BOCCHINI: Okay.

5 DR. CATHARINE RILEY: Annamarie Saarinen  
6 just logged on to the webinar. And we -- we can  
7 see you on the webinar; are you on the phone, as  
8 well?

9 (No audible response)

10 DR. CATHARINE RILEY: Okay.

11 DR. JOSEPH BOCCHINI: All right, well,  
12 let's go forward, and -- and -- and -- and we'll  
13 catch these other members in -- in a few minutes.

14 Next on the agenda is a -- is approval of  
15 the minutes of the May meeting. The Committee  
16 received the draft minutes to review prior to the  
17 meeting. We've incorporated the revisions that  
18 were received as of this morning and sent the  
19 revisions out to the Committee.

20 In addition, we have received some  
21 additional edits. Each of these edits are -- are  
22 merely for clarifying technical information.

1 They're minor edits and correcting typos, and  
2 there is no real change to any of the substance  
3 of -- of the -- of the -- of the meeting minutes.  
4 So, we will go forward with the vote for approval  
5 of the May minutes.

6 So, we'll start with Mei Baker?

7 (No audible response)

8 DR. JOSEPH BOCCHINI: Susan Berry?

9 (No audible response)

10 DR. JOSEPH BOCCHINI: I approve.

11 Jeff Brosco?

12 DR. JEFFREY P. BROSCO: Approve.

13 DR. JOSEPH BOCCHINI: Scott Grosse?

14 DR. SCOTT GROSSE: Approved with the  
15 additional edits that Carla submitted.

16 DR. JOSEPH BOCCHINI: Could you repeat  
17 that? We didn't hear it well, Scott.

18 DR. SCOTT GROSSE: Okay. Approved with  
19 the additional edits that Carla Cuthbert  
20 submitted.

21 DR. JOSEPH BOCCHINI: Yes. Thank you.

22 Kellie Kelm?

1 DR. KELLIE B. KELM: Approved.

2 DR. JOSEPH BOCCHINI: Kamila Mistry?

3 (No audible response)

4 DR. JOSEPH BOCCHINI: Melissa Parisi?

5 DR. MELISSA PARISI: Approve.

6 DR. JOSEPH BOCCHINI: Cynthia Powell?

7 (No audible response)

8 DR. JOSEPH BOCCHINI: Annamarie Saarinen?

9 MS. ANNAMARIE SAARINEN: Approved.

10 DR. JOSEPH BOCCHINI: Joan Scott?

11 MS. JOAN SCOTT: Approved.

12 DR. JOSEPH BOCCHINI: Scott Shone?

13 DR. SCOTT M. SHONE: Approved.

14 DR. JOSEPH BOCCHINI: And Beth Tarini.

15 DR. BETH TARINI: Approved.

16 DR. JOSEPH BOCCHINI: Thank you, all.

17 Next item is a -- a new addition to the

18 RUSP. As you know, in March, on behalf of the

19 Committee, I sent a letter to the Secretary

20 regarding our recommendation to expand the

21 Recommended Uniform Screening Panel to include

22 the addition of spinal muscular atrophy due to

1 homozygous deletion of exon 7 and SMN1.

2           We received a letter from the Secretary  
3 of Health and Human Services on July 02, 2018,  
4 that he had accepted the Committee's  
5 recommendation. In his response, the Secretary  
6 has asked that the Committee provide a report  
7 within 2 years, describing the status of  
8 implementing newborn screening for SMA, including  
9 clinical outcomes of early treatment and any  
10 potential harms for infants diagnosed with SMA.

11           The letter and its -- and the full SMA  
12 evidence review report are now available on the  
13 Committee's website.

14           I want to personally thank everyone who  
15 contributed to the nomination and to the review  
16 of the evidence of SMA. In particular, I want to  
17 thank the Committee members for their  
18 comprehensive review of the evidence and the  
19 discussion that resulted in the decision to move  
20 this on to the Secretary with our recommendation.  
21 So, thank you.

22           Next on the agenda is a call for

  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 organizational representatives. Got the next  
2 slide? So, as you're all aware, the Committee  
3 really values the expertise and input from the  
4 organizational representatives. On the Committee  
5 website is an invitation for organizations  
6 interested in being considered for formal  
7 representation at Committee meetings. Criteria  
8 used by HRSA for selection of organizations are  
9 also listed on the website and summarized on this  
10 slide.

11           The Committee has received some  
12 applications through this mechanism. HRSA will  
13 now also soon put out a call for organizations  
14 that wish to be considered. I want to thank the  
15 organizations that have already expressed  
16 interest for their patience in this process. All  
17 applications received thus far will be  
18 considered, along with any additional  
19 organizations who wish to apply for serving as  
20 formal organizational representatives to the  
21 Committee.

22           Next slide. Also want to introduce a new



1 organizational representative for the Society of  
2 Inherited Metabolic Disorders is Dr. Shawn E.  
3 McCandless. Dr. McCandless is a Visiting  
4 Professor of Pediatrics and the Section Head for  
5 Genetics and Metabolism at the University of  
6 Colorado, Andrews School of Medicine, and  
7 Children's Hospital of Colorado.

8 Dr. McCandless is a graduate of Temple  
9 University's School of Medicine in Philadelphia,  
10 completed his pediatric residency at the  
11 University of Wisconsin at Madison, and clinical  
12 and biochemical genetics training at Case Western  
13 Reserve University. Dr. McCandless has worked as  
14 a general pediatrician in the Northern Navajo  
15 Medical Center in Shiprock, New Mexico, and held  
16 faculty positions in genetics and metabolism at  
17 the University of North Carolina, Chapel Hill,  
18 and at Case Western.

19 He previously served on the Ohio  
20 Department of Health Newborn Screening Advisory  
21 Council for 12 years. He is currently a Co-PI of  
22 the Urea Cycle Disorders Consortium of the NIH

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 Rare Diseases Clinical Research Networks. His  
2 research has focused on inborn errors of  
3 metabolism and the Prader-Willi syndrome. He is  
4 board certified in pediatrics, clinical genetics,  
5 and clinical biochemical genetics. Dr.  
6 McCandless is a fellow of the American Academy of  
7 Pediatrics and the American College of Medical  
8 Genetics and serves on the Board of Directors of  
9 SIMD.

10           So, I'd like to welcome Dr. McCandless as  
11 a organizational representative to the Committee  
12 and ask that all of us give a warm welcome to  
13 him. So, welcome.

14           Also want to --

15           DR. SHAWN MCCANDLESS: Thank you.

16           DR. JOSEPH BOCCHINI: Also want to thank  
17 Dr. Greene for her years of service as the  
18 representative for the Society of Inherited  
19 Metabolic Disorders and -- and all of her work  
20 and her involvement at Committee meetings and  
21 workgroups, and certainly look forward to Dr.  
22 McCandless continuing that tradition of providing

1 good service to the Committee. So, we appreciate  
2 your involvement and the involvement of your  
3 organization.

4           Next slide. As I mentioned at our last  
5 meeting, when implementing evidence-based  
6 decision-making, it's necessary to periodically  
7 evaluate the processes that are in place. And as  
8 I had mentioned at the last meeting, we made the  
9 decision to take a closer look at the condition-  
10 nominating process, including looking at the  
11 options for nominating a condition and removal of  
12 a condition from the RUSP.

13           As we go forward, we will also be  
14 assessing the entire condition review process.  
15 As you know, we talked about establishing a  
16 steering committee and -- and moving forward with  
17 the plan to complete this review. The evaluation  
18 will include how the evidence review is  
19 conducted, the components included, how the  
20 evidence is presented to the Committee, and how  
21 the decision matrix is being used. I'd like to  
22 see us update the evidence -- the decision

1 framework using the latest approaches for using  
2 evidence to successfully develop public health  
3 policy.

4           So, the Committee is working with HRSA to  
5 initiate this process, so please stay tuned. You  
6 will hear the plans that they have evolved  
7 relatively soon.

8           The next plan for the Committee is a --  
9 an evaluation or an assessment of -- of the --  
10 what has happened with the implementation of new  
11 conditions that have been added to the RUSP. So,  
12 again, over the next year, we also plan to take a  
13 look at the impact of adding the more recently  
14 approved conditions to the RUSP. We'll take a  
15 retrospective look on how the implementations  
16 have gone -- in particular, were the estimated  
17 time frames accurate? Were there barriers and  
18 challenges encountered that we did not anticipate  
19 and that states did not anticipate in  
20 implementing a new condition? Were there any  
21 unexpected challenges? We also want to take a  
22 closer look at the clinical and public health

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 implications of adding conditions with known  
2 delayed onset and severity. The Committee is  
3 also working with HRSA to initiate these efforts.

4           Next slide. The future meeting dates are  
5 on this slide. The next meeting will be held on  
6 November the 1st and 2nd, 2018. It will be an  
7 in-person meeting at HRSA headquarters in  
8 Rockville and available by webcast.

9           There has been a change in the dates of  
10 the spring 2019 meeting. It will be scheduled --  
11 it was scheduled for May 9th and 10th. It will  
12 now be held April 22nd and 23rd. So, those of  
13 you who were planning for that meeting, please  
14 note this change.

15           It is -- it is expected that both of  
16 these meetings -- that this meeting in April will  
17 also be in person and available by webcast. And  
18 the meeting dates for -- through 2020 can be  
19 found on the Committee's website.

20           So, meeting topics for today: We have a  
21 presentation on risk assessment in newborn  
22 screening, followed by a presentation on

1 improving timeliness in newborn screening. We  
2 will have the workgroup updates and a report on  
3 long-term follow-up in newborn screening and a  
4 second report on technology in newborn screening  
5 that have been underway for a period of time.

6 Next slide. So, I'm going to turn this  
7 over to Catharine, now, for some business about  
8 the Committee. Catharine?

9 DR. CATHARINE RILEY: Great. Thank you,  
10 Dr. Bocchini. Good morning, everyone, and -- and  
11 welcome to those who have joined the webinar from  
12 the many different time zones across the U.S. and  
13 elsewhere. We know it's early for some of you.  
14 So, thank you for joining us.

15 I do have a few reminders and some  
16 logistics to go over this morning. This advisory  
17 committee's legislative authority is found in the  
18 Newborn Screening Saves Lives Reauthorization Act  
19 of 2014. This legislation established the  
20 Committee and provides the duties and scope of  
21 work for the Committee.

22 However, all Committee activities are

1 governed by the Federal Advisory Committee Act,  
2 which sets the standards for the establishment,  
3 utilization, and management of all federal  
4 advisory committees. As a committee member on a  
5 federal advisory committee, you are subject to  
6 the rules and regulations for a special  
7 government employee.

8 I also want to take this opportunity to  
9 remind the Committee members that as a committee,  
10 we are advisory to the Secretary of Health and  
11 Human Services, not to Congress. For anyone  
12 associated with the Committee or due to your  
13 membership on the Committee, if you receive  
14 inquiries about the Committee, please let Dr.  
15 Bocchini and I know prior to committing to doing  
16 an interview.

17 I also must remind Committee members that  
18 you must recuse yourself from participation in  
19 all particular matters likely to affect the  
20 financial interests of any organization with  
21 which you serve as an officer, director, trustee,  
22 or general partner, unless you are also an

1 employee of the organization or unless you have  
2 received a waiver from HHS authorizing you to  
3 participate. When a vote is scheduled or an  
4 activity is proposed and you have a question  
5 about a potential conflict of interest, please  
6 let me know as soon as possible.

7           So, according to the Federal Advisory  
8 Committee Act, all committee meetings are open to  
9 the public. If the public wish to participate in  
10 the discussion, the procedures for doing so are  
11 published in the Federal Register and are  
12 announced at the meeting.

13           For this meeting, in the Federal  
14 Register, we said there would be a public comment  
15 period, and for this meeting, we did not receive  
16 any requests to make an oral comment, and we also  
17 did not receive any written comments ahead of  
18 time. There is a brief section after lunch, and  
19 if there's anyone interested, please -- please  
20 let us know by -- by raising your hand, and if we  
21 have time, we'll be able to get to that. But,  
22 again, we did not receive any requests ahead of



1 time.

2           So, public participation should be  
3 advised that the Committee members are given  
4 copies of all written statements submitted to  
5 them ahead of time, and in this case, they didn't  
6 receive any as none were submitted. Any further  
7 public participation will be solely at the  
8 discretion of the Chair and the DFO.

9           So, before I move on, any questions from  
10 the Committee?

11           (No audible response)

12           DR. CATHARINE RILEY: Okay. So, for the  
13 webinar, since this is a -- Oh, sorry, before we  
14 -- before we go on, I see -- Dr. Berry, did you  
15 have a question?

16           (No audible response)

17           DR. CATHARINE RILEY: Okay. We'll move  
18 on, but I think Dr. Berry had a question about  
19 logging in. So, while we're holding that, we'll  
20 move on.

21           So, for Committee members, organizational  
22 reps, and speakers, please use the "raise hand"

1 function if you have a comment or a question.  
2 So, this is -- at the top of your screen, you can  
3 see the figure, and it has a little hand. You  
4 can click on that to raise your hand. We'll note  
5 that in the queue. So, once we've noted that,  
6 the Chair, Dr. Bocchini, will call on you in  
7 order of your request. We please ask that you  
8 unmute your phone lines before providing comment  
9 or question. Also, please remember to state your  
10 first and last names every time you provide a  
11 comment or question just so we can ensure proper  
12 recording for the Committee transcript and  
13 minutes.

14 For all of the meeting attendees that are  
15 watching the webinar and listening in, thank you,  
16 again, for joining. We cannot receive audio  
17 through the webinar, so you'll be able to hear  
18 the webinar, but we cannot receive audio back.  
19 So, there will be no functionality as far as  
20 raising hands, et cetera.

21 Okay. So, if -- I'm going to open up one  
22 last time. If there's any questions from

1 Committee members, organizational reps, or  
2 speakers that have an open line --

3 (No audible response)

4 DR. CATHARINE RILEY: Okay.

5 DR. JEFFREY P. BROSCO: Hi, this is Jeff.  
6 I'm pressing the button. Are you not seeing it?

7 DR. CATHARINE RILEY: I am seeing --  
8 Yes. So, Dr. Brosco, go ahead.

9 DR. JEFFREY P. BROSCO: Sorry, I just had  
10 a request from the public to be able to  
11 participate in -- in the webinar, and I wonder if  
12 we could send around a link for folks who want to  
13 participate. They apparently tried to register  
14 but were unable.

15 DR. CATHARINE RILEY: They tried -- what,  
16 they tried to register this morning, Dr. -- This  
17 is Catharine Riley. Were they trying to register  
18 today?

19 DR. JEFFREY P. BROSCO: Yes.

20 DR. CATHARINE RILEY: Okay. If they  
21 could send out the link -- Yeah. So, Dr.  
22 Brosco, if you could -- if there are folks you

1 know are interested, if you can have them email  
2 Alaina Harris?

3 DR. JEFFREY P. BROSCO: Alaina Harris,  
4 okay. Thank you.

5 DR. CATHARINE RILEY: Yes. And we will  
6 get them connected.

7 DR. JEFFREY P. BROSCO: Thank you.

8 DR. CATHARINE RILEY: Okay. So, we have  
9 -- I have Dr. Berry. Did you have a question?

10 (No audible response)

11 DR. CATHARINE RILEY: Okay. And last one  
12 is Dr. Ostrander. Did you have a question? You-

13 DR. ROBERT OSTRANDER: I just, early on,  
14 wanted to quickly mention -- this is Bob  
15 Ostrander, Academy of Family Physicians -- just  
16 to get people's ears perked up about our comments  
17 from Follow-Up and Treatment, later on, based on  
18 the Secretary's response to our addition of  
19 adding SMA to the RUSP and the request for early  
20 follow-up information on that condition. I think  
21 that our comments from Follow-Up and Treatment,  
22 later, about some thoughts about trying to

1 tighten that process up are going to be germane,  
2 and I just wanted to have people make that link  
3 in their mind going forward.

4 DR. CATHARINE RILEY: Okay, great. Thank  
5 you, Dr. Ostrander.

6 So, before I proceed, just a reminder:  
7 There -- there is no functionality with the  
8 webinar to communicate with, you know, myself or  
9 -- or Dr. Bocchini. So, you can click the "hand-  
10 raise" function. Other than that, we will have  
11 to utilize the open conference line for  
12 communication. So, I just wanted to offer that  
13 reminder. Or you can email -- this is Catharine  
14 Riley. You can email me or Alaina Harris if you  
15 have questions or issues with the webinar as --  
16 as we proceed.

17 Okay, if no further questions -- I don't  
18 see any other hands raised by Committee members  
19 or registered speakers -- I'm going to turn it  
20 back over to Dr. Bocchini.

21 DR. JOSEPH BOCCHINI: Thank you,  
22 Catharine. We're ready to have the first

1 presentation. This is on Risk Assessment in  
2 Newborn Screening. As you know, this has been an  
3 issue that the Committee has been considering for  
4 a period of time, and we've had a number of  
5 presentations from stakeholders, and our  
6 Laboratory Standards and Procedures Workgroup  
7 have been involved with APHL in their work on  
8 developing a -- a guideline with resources for  
9 the states. And so, this morning, we will have a  
10 presentation from Dr. Kelm concerning where we  
11 are with this issue.

12 Dr. Kelm is a Committee member, Chair of  
13 the Laboratory Standards and Procedures  
14 Workgroup. She is going to provide this update,  
15 and after this update, we will have time for the  
16 Committee and -- and others to discuss possible  
17 next steps or ideas for activities that the  
18 Committee might pursue in this topic area.

19 So, Kellie?

20 DR. KELLIE B. KELM: Yes.

21 DR. JOSEPH BOCCHINI: Are you ready to  
22 go?

1 DR. KELLIE B. KELM: I'm ready.

2 DR. JOSEPH BOCCHINI: All right, go  
3 ahead. The floor is yours.

4 DR. KELLIE B. KELM: Excellent. So, next  
5 slide, please. So, most of what I'm going to be  
6 talking today is an update on newborn screening  
7 risk assessment in the context of the discussions  
8 that this committee has been having for more than  
9 the past year.

10 And we had a workgroup meeting on Monday,  
11 July 30th, and we discussed many things,  
12 including the APHL risk assessment guidance  
13 documents that the Committee has heard about in  
14 the past, an update from the CDC on their  
15 progress with harmonizing newborn screening  
16 assays. We heard some information from APHL on  
17 the NewSTEPS data repository, as well as some of  
18 the other things they've been doing to help  
19 laboratories during their technical assistance  
20 site visits and risk assessments. And, lastly,  
21 we talked about some future direction. So,  
22 that's what I'm going to summarize for you all

1 today.

2 Next slide. Next slide, please.

3 DR. CATHARINE RILEY: Hi, Kellie, this is  
4 Catharine Riley. We did advance the next slide.  
5 Are you seeing it on your end on the webinar?

6 DR. KELLIE B. KELM: Nope, I'm not. But  
7 I can bring it up on my own computer and try to  
8 keep along, but I'm still on the agenda slide.

9 DR. CATHARINE RILEY: Okay. So, we're  
10 going to go from the agenda slide to the  
11 Committee discussion on cutoffs slide.

12 DR. KELLIE B. KELM: Yeah.

13 DR. CATHARINE RILEY: Okay, yep.

14 DR. KELLIE B. KELM: So, just a reminder  
15 -- just a reminder that there've been several  
16 presentations to the Committee on newborn  
17 screening and the process for setting cutoffs and  
18 some of the challenges and limitations in -- that  
19 laboratories experience, including biological,  
20 analytical variability, et cetera. And so, we  
21 had a series of -- of presentations in 2017, and  
22 APHL started working on this risk assessment



1 guidance document, and the outline of that  
2 document was presented to our workgroup in August  
3 of 2017.

4           Next slide. So, after giving some very  
5 high-level feedback in August to the outline, we  
6 received a draft document for review, and the  
7 Workgroup provided feedback in November. And the  
8 draft document was widely provided to the newborn  
9 screening community in January, and the Workgroup  
10 noticed that many of -- most of the suggestions  
11 have been addressed in the draft. And the  
12 Workgroup provided additional clarifications to  
13 the first author, and that's Joe Orsini, who  
14 provided that on to the -- the authors working on  
15 the document.

16           Next slide. So, just a reminder of what  
17 had been discussed in February, sort of the  
18 conclusion of the Workgroup, and what we had also  
19 communicated to the Committee in our review of  
20 the document: The document does describe the  
21 scientific process behind establishing and  
22 validating cutoffs. The document will be

1 valuable to state newborn screening programs, a  
2 very good resource. APHL intends for this to be  
3 a living document and will revise the document  
4 over time. It does not include best practices  
5 for screening for all conditions, and it does not  
6 harmonize newborn screening tests across states.

7           Next slide. So, just to recap what --  
8 After a presentation of the current draft by Joe  
9 Orsini to the Committee at our February meeting,  
10 the Committee decided a vote was not required on  
11 the document, acknowledged the document's value,  
12 and recommended that APHL continue to refine and  
13 improve it. It was also agreed that the Lab  
14 Standards and Procedures Workgroup should focus  
15 on what could be done to address public access  
16 issues and better ways to collect and store data  
17 on false positive results. And so, that was,  
18 sort of, the last update that we had.

19           So, about a week and a half ago, that  
20 final document was provided to the Workgroup, as  
21 well as -- as provided to the Committee, and at  
22 our July 30th meeting, Workgroup meeting, we

1 heard a brief presentation from the APHL on the  
2 changes to the document and had more discussion  
3 on -- on the document.

4           Next slide. So, per feedback from the  
5 Advisory Committee, a summary table was added and  
6 highlights -- generally, the -- the -- the table  
7 just takes highlights from the text and puts them  
8 in a table that is easier to look at the  
9 different types of methods for -- in terms of  
10 what type of cutoffs -- fixed, floating,  
11 multiples of the median, et cetera -- and their -  
12 - what they're generally used for and some  
13 functionalities and considerations.

14           The QA/QC Subcommittee Workgroup also --  
15 out of APHL -- had consulted with experts in the  
16 field on the different methodologies, had updated  
17 these documents to reflect more accurate  
18 information on these methodologies. Also, there  
19 were changes to the document to, I would say,  
20 update the -- the tone, and people felt that it  
21 also -- the edits provided a better balance in  
22 terms of discussing all the different methods and

1 available technologies in the document.

2           Next slide. So, the current status of  
3 the document is that it's very close to a final  
4 draft. Recently, the -- the group had resolved  
5 several comments that they'd received asking for  
6 additional clarifications, mainly from Mayo, in  
7 terms of the section on CLIR. We -- they did  
8 receive additional, recent comments from Stan  
9 Berwick on some errors on the section of -- on  
10 the -- the multiples of the medians, and so that  
11 section will be updated. So, it's not quite  
12 final yet, but the -- it's -- it's very close to  
13 being final once those -- those comments are  
14 addressed.

15           So, as I said on a previous slide, this  
16 is a living document and will have changes made,  
17 updates, as more information is made available.  
18 And at this time, APHL plans to post it on their  
19 website in the very near future, they're hoping  
20 within the next couple of weeks.

21           So, that's the update on the document,  
22 and I have a question for the Committee on -- on

1 the document at the end of my talk.

2           And so, we'll move on to -- next slide --  
3 what we heard in terms of an update from CDC.  
4 And so, this is, as we've heard before, part of  
5 their Quality Assurance group and harmonization  
6 activities, and so Kostas Petritis talked about  
7 normalization of the MS/MS biomarker results when  
8 he provided this update to the group.

9           Next slide. So, if you recall from his  
10 previous presentation and what we've heard, also,  
11 in this discussion about cutoffs is, mass spec  
12 biomarker measurements and -- and cutoffs can  
13 vary significantly among different labs. And  
14 just a reminder that over 70% of the disorders on  
15 the RUSP can be screened by mass spec. So, the -  
16 - the -- the different analyte results in cutoff  
17 values varies, and the major contributors are  
18 different extraction methodologies. You have  
19 labs using derivatized versus non-derivatized  
20 methods. Few labs account for analyte recovery;  
21 most labs don't. And then, there's the use of  
22 additional or different analytes per disorder or

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 second-tier screening and, of course, other  
2 factors in populations, instrumentation, internal  
3 standards, and calibration techniques.

4           Next slide. So, Kostas actually showed  
5 us, in the last -- in his presentation, how CDC  
6 is performing this harmonization by getting state  
7 labs' results with their QC materials, and then  
8 they're using the proficiency testing results to  
9 validate that their normalization process is  
10 working. And so, this is just one of the slides  
11 that Dr. Petritis showed us in terms of the  
12 different results, and then using that to  
13 harmonize the results.

14           Next slide. He also showed the before  
15 and after in terms of the results that we're  
16 getting and how normalization improved the  
17 difference amongst the labs when -- when they had  
18 performed the normalization and showed that, for  
19 example, an outlier, as shown in the circled dot  
20 in the right side, could be pointed out to the  
21 labs so they could reevaluate their cutoffs, even  
22 -- because normalization would, obviously, really

1 expose the -- the potential outlier in terms of  
2 cutoff here.

3           So, next slide. So, we got a short  
4 presentation on what CDC has been up to in the  
5 last few months since their presentation on their  
6 normalization project.

7           Next slide. So, currently, CDC is  
8 building a web interface to visualize the  
9 normalization results, and this would -- would --  
10 would be available to the laboratories for them  
11 to be able to do this comparison for themselves.  
12 And so, this is currently in design phase, but  
13 they were showing us what they intend to be able  
14 to present to the laboratories for them to come  
15 in and look at how they compare to other  
16 laboratories.

17           Next slide. In addition, they were  
18 providing us updates that, obviously, they cannot  
19 do normalization for analytes that aren't  
20 included in their QC materials. So, they are  
21 working to add more analytes to their QC  
22 materials. And so, their current production,

1 which will be shipping early next year, will  
2 contain the additional analytes listed in the  
3 list below. And CDC is considering adding  
4 additional analytes, including ASA and those  
5 other ones in the list down at the bottom, in  
6 order to allow them to -- to normalize these  
7 results in future -- in -- in -- in future time  
8 periods.

9           Next slide. So, they did discuss some of  
10 the limitations of this current process. So,  
11 using proficiency testing samples to confirm that  
12 normalization worked has provided proof of  
13 concept but is not a long-term solution. This is  
14 only one measurement. Not all analytes are  
15 enriched in every proficiency testing event, and  
16 some analytes are outside in the -- in the --  
17 proficiency testing samples are outside the  
18 dynamic range of the QC materials.

19           And there was also some discussion by our  
20 workgroup members that they would really like to  
21 see clinical samples used in order -- because of  
22 the different -- and limitations of PT samples.

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376



1 And so, there was additional -- CDC's considering  
2 the creation of an additional QC specimen, with  
3 all analytes set at CDC cutoff, to be used for  
4 normalization and validation.

5 And so, that's the update we got on what  
6 CDC has been doing in this process for the last  
7 few months since their first presentation.

8 So, next slide. So, we received an  
9 update from Jelili from APHL on some of the  
10 current work that NewSTEPS has been doing,  
11 helping with quality improvement, that also helps  
12 with cutoffs and risk assessment and also talking  
13 about future activities for NewSTEPS.

14 Next slide. So, just a reminder that  
15 NewSTEPS has -- has several goals, and so I -- I  
16 wanted to include them all here and -- and --  
17 while we get to the one that helps with risk  
18 assessment cutoffs, but just a reminder that  
19 their first goal is communication and outreach,  
20 and they have a lot of activities, obviously, to  
21 -- in -- in -- in that space.

22 Next slide. Goal two is their role with

1 continuous quality improvement and data-driven  
2 outcome assessments in newborn screening by  
3 providing centralized data repository, their  
4 dynamic data infographics and visualization  
5 tools, and supporting integration of HIT  
6 frameworks, including HL7 messaging.

7           Next slide. And, lastly, technical  
8 assistance -- They have a technical assistance  
9 resource center that proactively provides  
10 training, addresses challenges, and supports  
11 program improvement, and a -- a large part of  
12 this is their comprehensive site reviews, as well  
13 as focus site reviews that they've been forming,  
14 where they send groups of experts to laboratories  
15 that have requested the visits. And we know that  
16 in several instances, sites have actually  
17 requested help in -- from these groups in, sort  
18 of, reviewing their process for establishing and  
19 reviewing cutoffs and giving them feedback and  
20 helping them work on their -- their SOPs and  
21 improving them and -- and having these experts in  
22 laboratory -- lab procedures and follow-up has

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 been very helpful to laboratories in improving  
2 these processes.

3           Next slide. So, NewSTEPS, which recently  
4 awarded funding from HRSA, I believe, that will  
5 be starting next month, and you notice that as  
6 part of the quality improvement projects, there  
7 are five focus areas for the funding, and number  
8 two in that list is identification and follow-up  
9 on out-of-range results. And so, this is quality  
10 improvement, specifically in the area of cutoffs,  
11 establishing cutoffs, validation, risk  
12 assessment, and assessing how well that's working  
13 in terms of follow-up and providing information.

14           And, also, you know, we have had these  
15 discussions lately about improving assessments  
16 and collection of information on false negatives,  
17 and what that may also mean for systems. So --  
18 so, this -- this -- these activities will  
19 probably be very helpful for laboratories as  
20 NewSTEPS rolls out these activities.

21           Next slide. And they also performed  
22 other activities, in this case, that CDC funded,

1 as we've been talking a lot about the QA/QC  
2 Subcommittee and their work on the risk  
3 assessment document. They have other activities,  
4 including education -- educational webinars,  
5 providing information and help to labs as new  
6 conditions are added to the RUSP, and other QA/QC  
7 activities and -- and -- and providing that --  
8 that help. And we've heard before -- previously  
9 about the MBS Molecular Assessment program, and  
10 this program provides site reviews addressing  
11 molecular capability -- capacities, excuse me, in  
12 newborn screening programs, and there have been  
13 22 program visits since January of 2011.

14           So -- so, that's -- I -- I think we look  
15 forward to hearing more from APHL and NewSTEPS  
16 about how the activities in this area are helping  
17 laboratories as they work on, you know, adding  
18 new conditions and -- and also, you know, working  
19 on SOPs, which the Committee recommends that --  
20 that laboratories have in terms of how they are  
21 establishing their cutoffs and validating their  
22 cutoffs in a robust manner and revisiting those,

1 as well.

2           So, next slide. So, as we've been having  
3 these discussions about, you know, the attention  
4 that's been given to cutoffs the last, you know,  
5 a year and a half to two years, one thing that  
6 has come up in our discussions with the  
7 Committee, as well, and -- and -- and members of  
8 the Committee, which is the possibility of a  
9 cross-workgroup effort involving people from the  
10 Lab Standards Workgroup, as well as the Education  
11 and Training Workgroups. And so, what has --  
12 what has emerged is whether or not there's ways  
13 to communicate the strengths and limitations of  
14 newborn screening to different audiences, because  
15 that seems to be a piece that is still missing as  
16 we have talked about the attention given to the  
17 issues with cutoffs in the media.

18           So, one of the ideas was to potentially  
19 create some sort of a tool or product to educate  
20 physicians, parents, and/or the public on newborn  
21 screening, but some of the questions, since we're  
22 just sort of talking about this possibility, is,

1 what would this look like, and who would be the  
2 target. And so, this is something that we've  
3 just, really, sort of, scratched the surface on.

4           Next slide. What -- in our discussions,  
5 what this has -- what we've envisioned in terms  
6 of a general message for any -- any of those  
7 target groups would be: describing what is  
8 screening, what is newborn screening, how newborn  
9 screening is different from other types of  
10 screening, and what are we trying to find. And  
11 what other -- the other thing that has come out  
12 of our discussions is the idea -- in terms of our  
13 messaging for physicians, is adding more  
14 information on the limitations of newborn  
15 screening and reminding them to act on the  
16 clinical signs and symptoms regardless of the  
17 newborn screening result, you know, not to assume  
18 that a negative is always a negative.

19           So, this is something that has come up  
20 that, you know, we've also briefly talked to some  
21 members of the Education and Training Workgroup  
22 on, but we would love to get some feedback from

1 the Committee on whether or not they think this  
2 kind of product or -- would be something that  
3 would be of interest to the Committee and of  
4 interest to the general population but then, as  
5 we said, you know, physicians, parents, public,  
6 very, you know, different target groups in terms  
7 of knowledge, interest, experience, and training.  
8 And we'd love to hear more from the Committee and  
9 others on what they think about something like  
10 this and whether or not it would help communicate  
11 to those outside of newborn screening about all  
12 of these issues that we've been talking about for  
13 the last two years.

14           So, my next slide is the last slide. Our  
15 -- so, our workgroup, we sort of ended with two  
16 questions that we wanted to put in front of the  
17 Committee for discussion at this point. So, you  
18 know, we have -- and -- and the Committee has  
19 received pretty close to a final document on  
20 APHL's risk assessment guidance document, you  
21 know, provided to -- just a reminder of what we -  
22 - the Committee had concluded in February in

1 terms of the document, but we did want to ask  
2 whether or not the Committee felt there was any  
3 change in their recommendations in terms of what  
4 to do with the document and whether or not there  
5 was anything the Committee wanted to do with the  
6 document.

7           And number two, the -- the question about  
8 whether or not there was any interest by the  
9 Committee in having a Lab Standards-Education  
10 Training cross-workgroup, sort of pursuing  
11 together creating this educational product in  
12 newborn screening for physicians, parents, and/or  
13 the public. And so, that was our -- our second  
14 question that we wanted to put in front of the  
15 Committee for discussion.

16           So, that's it for me. I'd love to hear  
17 some feedback from the Committee. Thank you very  
18 much.

19           DR. JOSEPH BOCCHINI: Kellie, thank you.  
20 That was a great presentation, and I think it  
21 sets the stage for a good discussion from the --  
22 from the Committee and the organizational



1 representatives.

2           So, let's open the discussion. Again,  
3 use the "hands up" to indicate that you want to  
4 ask a question or make a comment, and then we'll  
5 -- we'll go through the list and move forward.

6           I think the questions that Kellie has  
7 raised, I think, are really excellent, and I want  
8 to frame them, also, in what we've spoken about  
9 before as to what other things can the Committee  
10 do or consider doing to try and -- and help this  
11 APHL document get the -- the use that it -- that  
12 -- that it needs, as well as help states in any  
13 way to make risk assessment work better. So,  
14 let's open that to discussion.

15           So, first question, comment, we have Dr.  
16 Berry. So --

17           (No audible response)

18           DR. JOSEPH BOCCHINI: So, Sue, we can't  
19 hear you. Is your line muted?

20           FEMALE SPEAKER: And we do not have Dr.  
21 Berry dialed in to the speaker line with an open  
22 line. If -- Dr. Berry, if you are online, could

1 you press "star 1," please?

2 (No audible response)

3 FEMALE SPEAKER: And I am not getting a  
4 response at all.

5 DR. JOSEPH BOCCHINI: So, if Sue is  
6 coming online, while we're waiting for that to  
7 happen, let's go to Mei Baker.

8 DR. MEI BAKER: Hello, can you hear me?

9 DR. JOSEPH BOCCHINI: Yes, we can, Mei.

10 DR. MEI BAKER: Great. I -- the -- in  
11 terms of any changes from February 2018, the  
12 recommendation -- and it just right now popped to  
13 my mind. I would like adding on one thing. I  
14 think it's wonderful CDC, going forward, will  
15 make QC material for laboratory do the  
16 validation.

17 Also, I think, is it possible, when CDC  
18 obtained QC data from each lab, ask them that on  
19 the report absolute numbers, can I -- can we also  
20 ask for multiple of the median? Because this  
21 one, you naturally normalize each set of data,  
22 then do the comparison knowing where they are.

1 And also, when return this data back to CDC, also  
2 provide their multiple of the median, their  
3 cutoff.

4           So, this way, this -- I think this the  
5 more efficient way to obtain the data, and CDC  
6 can analyze them in the deidentified fashion.  
7 And we all know our laboratory code. Then, we  
8 receive the feedback and allowed us to see where,  
9 you know -- each lab, where we are. Is something  
10 durable? So, this is a -- I think it's a part of  
11 the process that we can harmonize data, and I  
12 feel multiple of the mean, maybe, is the -- the -  
13 - the way we do that.

14           And second part I want to make some  
15 comments -- I think Sue, actually, can make a  
16 better comment. My understanding is, the Midwest  
17 Genetics Group is underway develop a set CME  
18 education data for primary care physician, and I  
19 think all the effort should be collaborate  
20 together in standard, you know, reinvent the  
21 wheel.

22           DR. JOSEPH BOCCHINI: Thank you, Mei.

1 Sue, are you on? Sue Berry?

2 DR. SUSAN A. BERRY: Yes, thank you. I -  
3 - sorry, I misunderstood about the issue on the  
4 webinar. My apologies.

5 DR. JOSEPH BOCCHINI: No problem. Go  
6 right ahead.

7 DR. SUSAN A. BERRY: I wanted to make the  
8 Committee aware of a project that's being  
9 undertaken in the Midwest Genetics Network, part  
10 of the HRSA-funded genetics collaborative. We  
11 have just had word from the American Board of  
12 Pediatrics that our MOC4 educational activity for  
13 physicians regarding education around newborn  
14 screening is -- has been approved.

15 The -- there are basically three training  
16 modules to this, and the three training modules  
17 are: an introduction to newborn screening and  
18 what it does and what it doesn't do; a -- and a  
19 module specifically on sharing results that are  
20 negative and what that means for families and how  
21 to share that information; and then the third  
22 module is on giving back either so-called

1 borderline or positive results. And the idea, I  
2 think, really harmonizes with what's being  
3 discussed for this educational product that --  
4 that Kellie mentioned. We identified this based  
5 on a -- sort of a -- so the -- the Education  
6 Committee has a -- a broad spectrum of  
7 stakeholders, included -- including families, and  
8 they identified better education for physicians  
9 in newborn screening as -- as an important  
10 priority to them.

11           Obviously, they're a specialized group of  
12 folks, most of the time, when we -- when we have  
13 consumers in these genetics networks, but I -- I  
14 think the -- the upshot of it is that -- that the  
15 use of -- of educational activities is really  
16 important. People -- the -- the -- we -- we  
17 found, in studies, that where we've surveyed  
18 physicians in our own area that despite, I would  
19 say, relatively medically sophisticated  
20 understanding that they typically didn't  
21 understand the import of a negative screen and  
22 that that was something that really needed some

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 highlighting.

2 DR. JOSEPH BOCCHINI: Great. Sue, just a  
3 follow-up question for you: For the MOC, you need  
4 to be a member of the American Board of  
5 Pediatrics to --

6 DR. SUSAN A. BERRY: Yeah, unfortunately  
7 our --

8 DR. JOSEPH BOCCHINI: -- take the MOC?

9 DR. SUSAN A. BERRY: -- our first pass on  
10 it to get it out there, because we wanted to --  
11 we wanted to get it done. So, for the first  
12 round of MOC4, we got it to APP because they  
13 could get it through the process most quickly,  
14 and we wanted to do that.

15 Our plan with this set of educational  
16 modules is to work with an additional provider to  
17 make those modules more generally available to  
18 providers at other levels of training, so that,  
19 for example, you could use it for PAs or as -- we  
20 -- we're hoping to be able to connect with the  
21 American Board of Family Medicine, for example,  
22 the -- we -- so that this could be more generally

1 available. We -- we wanted to make it available  
2 as soon as possible to our pediatric colleagues  
3 in our region, so that's where we started.

4 DR. JOSEPH BOCCHINI: That's great, and  
5 then, perhaps, maybe even through the -- for  
6 residency training-program modules, as well.

7 DR. SUSAN A. BERRY: Mm-hmm.

8 DR. JOSEPH BOCCHINI: So, thank you --

9 DR. SUSAN A. BERRY: Yeah, we'll --

10 DR. JOSEPH BOCCHINI: -- that's great.

11 So, next two Committee members are Scott  
12 Shone and then he'll be followed by Beth Tarini.

13 So, Scott?

14 DR. SCOTT M. SHONE: Good morning. So, a  
15 -- a couple things, and, Kellie, forgive me if  
16 I'm not tracking with the entire presentation. I  
17 don't know if that's just because of the webinar  
18 or whatever, but it seems to me that -- that this  
19 discussion, at least this morning, was a multi --  
20 multi-faceted summary of some -- of -- of  
21 different activities, and I just want to be sure  
22 I'm clear on that.

1           And so, the first is, the APHL document,  
2    which we've been taking about -- which I think  
3    the final revisions have made it a lot stronger,  
4    but that's around the discussion of current state  
5    of cutoffs and -- and -- and how programs do  
6    that. But then Kostas is sort of painting a  
7    picture for the vision of where states could go -  
8    - and programs, rather, could go with taking this  
9    beyond just a cutoff discussion and maybe even  
10   helping to harmonize analyses across -- across  
11   the system with -- and -- and, again, please let  
12   me know if I'm misinterpreting this -- with the  
13   goal of, you know, sort of trying to get a -- a  
14   case where if a baby's screened in one program or  
15   another program, the interpretation is the same.

16           And the third is education of the  
17   appropriate people within the system on this  
18   topic and the topics associated with risk  
19   assessment.

20           So, I want to stop and make sure I have  
21   that correct, because I might have comments about  
22   that.



1 DR. KELLIE B. KELM: So, you -- you're  
2 correct, and I think that -- I think I should  
3 have done a better job introducing that I think a  
4 lot of the negative attention that was given to  
5 the systems in terms of screening -- what we  
6 heard was, you know, I guess several things: 1)  
7 you know, criticisms that the cutoffs weren't  
8 appropriate and that they were missing babies, 2)  
9 you know, the -- a lot of it was the fact that  
10 different programs had different cutoffs and  
11 different results. And I don't think -- while we  
12 often understand why that is, there, obviously,  
13 is also some interest in whether or not we can  
14 figure out some way to -- to normalize those  
15 results across states so that it makes more --  
16 you know, it seems like the same results in  
17 Alabama as what you're getting in Missouri,  
18 whether or not that's, you know, going to happen  
19 or not.

20 And then, 3) you know, is there a way for  
21 us to provide some education, because a lot of  
22 the information that was in some of those

1 articles was incorrect or, you know, there is --  
2 unfortunately, is some lack of knowledge about  
3 newborn screening and the limitations, I mean,  
4 getting that out in order to also address some of  
5 the incorrect information but also just making  
6 sure that we're providing the right information  
7 out there.

8           So, I think this is just addressing  
9 several of the different topics that came up in  
10 some of the media attention for newborn screening  
11 that happened a couple of years ago. So --

12           DR. SCOTT M. SHONE: Right.

13           DR. KELLIE B. KELM: -- that's how I  
14 tackled -- you know, we're -- we're sort of  
15 talking about activities to address each of  
16 those.

17           DR. SCOTT M. SHONE: All right. So, I --  
18 to -- to the last point and, sort of, in terms of  
19 the -- the education and training -- I mean,  
20 we've had, at least since I've been a Committee  
21 member and -- and beyond -- and before that,  
22 there's been some great presentations by the

1 Education and Training Workgroup, you know, Beth  
2 and Cathy. Amy Gaviglio's given many  
3 presentations, and I -- So, I think that these  
4 topics have been addressed -- Genetic Alliance  
5 has been involved -- around, you know, these  
6 topics of information and who -- and who they  
7 need to go to.

8           And so, I don't think -- I'm not sure --  
9 and -- and, again, I might be reading this wrong  
10 -- I'm not sure if I'm -- I'm understanding the  
11 goal, but I think that -- that some of this is  
12 already in progress, and I don't know that the  
13 Lab Standards Workgroup is going to contribute  
14 more to education than the Education and Training  
15 Workgroup. And, perhaps, the idea is more of  
16 assisting with dissemination of work -- of some  
17 excellent work that's already -- already been  
18 done or in progress. But -- but -- so -- so, I  
19 want to be cognizant of the amount of work that's  
20 already been done on that -- on the education  
21 topic by the other workgroups.

22           But to the point of risk assessment, I

1 mean, I -- I think that, you know, media  
2 attention obviously brought -- you know, brought  
3 the topic up, but the idea that there's  
4 widespread misclassification of risk in the  
5 newborn screening system -- I -- I don't think  
6 anybody has ever said that. And so, some of  
7 these efforts have been -- really made it  
8 clarifying why programs do what they do and --  
9 and -- and, also, how they do what they do. But  
10 I want us to all be aware of that, you know, the  
11 work that's being done at CDC, the work that's  
12 being done to develop other post-analytic tools,  
13 are helping to -- are -- are -- are meant to help  
14 hone and -- and refine that risk assessment, you  
15 know, not only to avoid missed babies but to not  
16 -- to not overcall positives.

17           And so, I think, from a technical  
18 assistance standpoint -- and -- and you -- you  
19 mentioned NewSTEPS -- there's an opportunity  
20 there to -- to not only educate on why and what  
21 we currently do but build on what's out there to  
22 go towards the future. And so, I don't want to

1 get that lost in -- in this discussion around --  
2 that I think that's --ultimately, the goal is  
3 improving risk assessment and -- and not  
4 suggesting that -- that -- that any of these  
5 activities are -- are sufficient in terms of  
6 just, this is how we do and why we do but rather  
7 that the system is always trying to improve and -  
8 - and make sure that the right babies are  
9 identified. And I'm not sure I'm articulating  
10 myself clearly enough, though.

11 DR. JOSEPH BOCCHINI: All right, thank  
12 you. Beth, and then that'll be followed by Jeff  
13 Brosco.

14 DR. BETH TARINI: So, my -- my questions  
15 actually follow from Scott's, and the first is to  
16 ask, what does the Lab Standards Group think that  
17 is missing from the current strategy of  
18 education? And what follows to answer that  
19 question is, do we have a understanding of, what  
20 is the full landscape of what we are doing now.

21 So, it sounds like -- and -- that APHL,  
22 the Committee on Genetics and Public Health, is

1 working on this. Genetic Alliance is working on  
2 these issues. So, I'm now wondering if the first  
3 step is actually just to assess the landscape of  
4 what we're actually doing, and then identify  
5 where there are either gaps which we're not  
6 covering -- either content or audience -- or  
7 whether -- and is it not an effective strategy.  
8 So, that's my first point. And then my -- and --  
9 and question.

10           And then, my second is, the -- the -- the  
11 concerns about newborn screening and its  
12 portrayal in the media -- and I -- and I want for  
13 us to try to clarify, is this a perception issue,  
14 or is this an education issue, because there --  
15 the distinction is important. If we are trying  
16 to change perception from the public, then I  
17 think our strategies may be different than if we  
18 are trying to change behaviors of physicians and  
19 parents.

20           And I'm not saying which one we should be  
21 doing, but -- but I think -- For instance, I  
22 would argue that we have -- we, often, are in an

1 echo chamber, and I don't think a lot of our  
2 information is getting out into the lay public.  
3 And if that is the problem, no matter how many  
4 education tools we create, they won't get to the  
5 lay public. I mean, then this issue of getting  
6 into the media, having conversations with  
7 reporters -- which has come up in our workgroup,  
8 and -- and Catherine is, I know, looking into  
9 this -- I -- I think that has to be considered as  
10 part of a strategy. Otherwise, I don't know that  
11 we've pierced the veil into the public with --  
12 with the current educational strategy we have.

13 DR. KELLIE B. KELM: Yeah, this is --  
14 this is Kellie, and I think -- I -- I think your  
15 first point is especially useful, that in some  
16 ways, what we almost need to do is understand the  
17 landscape. And I do think that, for example,  
18 also finding out more information about  
19 activities that are out there -- for example,  
20 what Dr. Berry talked about -- are -- are things  
21 that we would probably, you know, really need to  
22 assess before we ever build into, you know,

1 thinking that there was some need for some other  
2 product, but, you know, your second point  
3 obviously is -- is extremely valid, as well.

4 DR. JOSEPH BOCCHINI: All right, next is  
5 Jeff and then --

6 DR. JEFFREY P. BROSCO: Yeah, Jeff  
7 Brosco.

8 DR. JOSEPH BOCCHINI: -- followed by Mei  
9 Baker.

10 DR. JEFFREY P. BROSCO: So, I -- I -- I  
11 agree with and just wanted to emphasize what  
12 Scott had said, and -- and some of what Beth  
13 said, as well, that we -- we do want to be  
14 careful not to start making new policy or making  
15 big, new initiatives for what might be a -- a --  
16 a small problem in terms of the number of -- of  
17 children who are missed. So, I think that's a  
18 really -- a good point that Scott made we've been  
19 trying to make all along.

20 And as Beth was pointing out, there is a  
21 huge amount going on already, and, you know, of  
22 course, Genetic Alliance and Baby's First Test,



1 there's a lot of trying to help people  
2 understand. And my one suggestion is that we  
3 want to think as much as we can about just-in-  
4 time information, and -- and that is that the --  
5 the information education happens at the moment  
6 that either the -- that the person actually needs  
7 it.

8           And one opportunity for that, I think --  
9 and my colleagues in Florida may -- may scream  
10 when they hear this, but when the test results  
11 get sent out to -- to the pediatrician or to the  
12 hospital, it seems to me that every state  
13 probably does something a little bit different on  
14 how much information they provide. And it might  
15 be that a good explanation of what those results  
16 mean and don't mean at that moment -- So, if,  
17 every time a pediatrician or a family doc got the  
18 newborn screening results, there was a clear  
19 statement about, you know, what this means, what  
20 the chances of false positives and false  
21 negatives are, that might be a way to do ongoing  
22 education at the moment when a provider needs it

1 the most. And that's just one example of trying  
2 to think about education in terms of just in  
3 time, at the -- the moment someone needs it.  
4 Thanks.

5 DR. JOSEPH BOCCHINI: All right, next is  
6 Mei Baker, followed by Melissa Parisi.

7 DR. MEI BAKER: I just want to -- after  
8 Sue's comments, I want to emphasize: I -- I -- I  
9 feel strongly is, indeed, we need general  
10 population education, but I think we perhaps  
11 shouldn't expect all the parents to understand  
12 all the nuance of the scientific things behind  
13 the whole cutoff will come about, and I do feel a  
14 primary care physician is a very, very important  
15 group.

16 And to go back to Jeff was saying, in-  
17 time information: that's because most of the  
18 newborn screening laboratory or program, we do  
19 not have a direct relationship with the parents.  
20 And our primary care physicians are the people.  
21 And the -- in -- in terms of trying to figure out  
22 is address the perceive or behave or education, I

1 feel, due to the -- the foundation base is  
2 important is why I feel the work through the  
3 letter is so important, because this is  
4 continued-education based, and, also, other  
5 profession organizations, which is the target,  
6 get involved.

7           Another thing I feel: If we have this  
8 module available become part of the package of  
9 continue education, so it's -- it's ongoing.  
10 It's not a one-time effort. So, I feel, very  
11 strongly, emphasize for our primary care  
12 physician is so important because they're our  
13 window or our channel to the family. And, also,  
14 usually, primary care physician have  
15 relationships with family. What they say, family  
16 likely has the trust, has the belief. So, how  
17 they are relating information to the family is  
18 terribly important. If they have a fully good  
19 understanding what the newborn screening mean --  
20 what's the pros, what's the cons, what's the  
21 limitation -- so they can relay to family in a  
22 more accurate way --

                                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1           So, I -- I -- I -- I really think -- I  
2 think if the material the Committee members want  
3 to have a chance to review -- and that's good. I  
4 just feel -- agree with Scott, with Beth, was  
5 that we -- we came in and say we do activities  
6 and -- but we need to assess what the outcome,  
7 too.

8           DR. JOSEPH BOCCHINI: Thank you, Mei.  
9           Melissa Parisi, and then Sue Berry back  
10 again.

11           DR. MELISSA PARISI: Hi, this is Melissa  
12 Parisi. I just wanted to make a comment about  
13 the public-education efforts, and this sort of  
14 stems from some of the discussions at an NBSTRN  
15 meeting that was just held yesterday and the day  
16 before. And -- and, really, keeping in mind the  
17 value of reinforcing the importance of retention  
18 of dried blood spots for public-health-related  
19 purposes, for research purposes, and for, you  
20 know, just in general, the value of these spots  
21 as a resource for the future.

22           So, I know we obviously want to ensure

1 that the public is educated about newborn  
2 screening, what it does and what it doesn't do --  
3 and -- and I think, by extension, the public --  
4 I'm also including physicians and caregivers,  
5 who, you know, are going to be encountering these  
6 incidents, but I also think we want to not forget  
7 about some of the additional value of this whole  
8 program and the value of the dried blood spots  
9 for future purposes.

10 I mean, we know that there has been a  
11 very negative campaign waged against retention of  
12 dried blood spots, and, you know, the tragedies  
13 that have occurred in Texas and Minnesota with  
14 regard to destruction of all those spots -- you  
15 know, we can't forget about that. And whatever  
16 types of educational efforts, I would like to see  
17 that they at least include some acknowledgement  
18 of the value of this -- of this really precious  
19 resource.

20 DR. JOSEPH BOCCHINI: Thank you. Sue  
21 Berry, and then Beth Tarini.

22 DR. SUSAN A. BERRY: This is Sue Berry.

1 As the materials are made available for a -- for  
2 the MOC4 use, I'm certain that the Education  
3 Committee and the Midwest Genetics Network would  
4 be happy to share that information for comment  
5 and -- and -- and just so you can see what we're  
6 doing and to see if it's of more general utility  
7 for further use. So, we -- the -- the obvious  
8 purpose is to make that a generalizable resource.

9 DR. JOSEPH BOCCHINI: Thank you. Beth?

10 DR. BETH TARINI: So, I have a proposal  
11 for us to consider, which is based on the fact of  
12 Jeff's point about one -- one-time -- or just-in-  
13 time information and Mei's point about the  
14 primary care providers. And the fact is, as we  
15 all know, these are rare occurrences, I would  
16 say, generally, in a physician's career. So,  
17 educating them -- I -- I think baseline education  
18 is important, but I think it will not overcome  
19 the fact that the education muscle is not going  
20 to be used frequently, because these physicians  
21 just will not see enough of these in their career  
22 to, sort of, be superb in their retention of the

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 knowledge base.

2           So, back to, then, what the states can do  
3 and what Jeff mentioned. I'm wondering if this  
4 committee can provide recommendations to the  
5 programs on what types of information should be  
6 included in those documents that send -- are sent  
7 out from the states to the providers with the lab  
8 -- with the newborn screening results, so that --  
9 You know, they can design them however they want,  
10 they can use whatever language they want, but  
11 that some core elements, which this committee  
12 could come up with, should be included in those  
13 documents, because those are the documents that  
14 the physicians are A) most likely to see and B)  
15 most likely to use because they are attached to  
16 the results themselves.

17           DR. JOSEPH BOCCHINI: Thank you.

18           DR. MEI BAKER: This is Mei. I have a  
19 question to ask. What's this different than ACT  
20 Sheet?

21           DR. BETH TARINI: So, the ACT Sheet -- I  
22 guess, A) if the ACT sheet is -- I don't know the

1 answer, because I don't know what the content we  
2 want to be in is, if the content differs from the  
3 ACT sheet. So, that would be the first thing to  
4 look at: Are the ACT sheets currently sufficient  
5 in what the Committee thinks should be included  
6 on this disclosure -- or on these forms, for  
7 instance, A), and then B) if that is -- if they  
8 are, then do we recommend that all states use the  
9 ACT sheets?

10 DR. JOSEPH BOCCHINI: So, one of the --

11 DR. BETH TARINI: Because acting --  
12 because acting -- let me -- let me just finish.  
13 I -- I just thought of something. Because they  
14 need -- the -- the key is, the information needs  
15 to be all together when that, I assume, fax comes  
16 through. You cannot expect -- well, you can  
17 expect, but I believe you will be sorely  
18 disappointed if you think that physicians will go  
19 online, in large number, to get the ACT sheets.  
20 I think it's -- it's -- it's a matter of, sort  
21 of, user design, everything at the fingertips.  
22 So, I think if we think the ACT sheets are

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376



1 sufficient, then we must encourage the programs  
2 to include them when they fax out the results.

3 DR. MEI BAKER: Very good point.

4 DR. JOSEPH BOCCHINI: The -- the other  
5 thing, Beth, just to follow up, is that,  
6 certainly, the product that the Education and  
7 Training Workgroup has been -- has developed to  
8 go along with the ACT sheets, in terms of how to  
9 provide information to the parents, certainly is  
10 a step in the direction of providing that  
11 information that you are talking about. That --  
12 that might help with the just-in-time approach to  
13 give what is needed, and I -- I don't know how  
14 much that product addresses the kind of things  
15 we're talking about today.

16 DR. BETH TARINI: Yes, and I guess that's  
17 the question, that -- that what we've worked on  
18 and what the communication of it -- well, what it  
19 -- what the content of it is now, does that  
20 contain, with the ACT sheets, everything the  
21 Committee thinks the physicians should know?  
22 Again, this gets to my original point, which is,

1 we may already have the content and the tools,  
2 and they may just need to be tweaked in the  
3 content and/or their delivery. So, I -- I think  
4 -- I think that the -- but the first step is to  
5 assess, what are the core elements of education  
6 that have to be given to the physicians at the  
7 time of the results.

8 DR. JOSEPH BOCCHINI: Yep. Sue Berry?

9 DR. SUSAN A. BERRY: This is Sue Berry.  
10 Two comments: I hear about the just in time for  
11 positive results. I want to emphasize that one  
12 of our elements of education -- and I think it's  
13 a very important one -- is, while -- So, what  
14 I'd say is, while getting a positive result is a  
15 rare event in a physician's or a care provider's  
16 life, getting negative ones is not.

17 And one of the things we wanted to  
18 emphasize, as an opportunity to educate the  
19 public, is that point of contact as a plan for  
20 mediated and understood education about newborn  
21 screening: You know, you've got -- you -- your  
22 lucky baby has a negative test. We're very

1 thrilled that your baby is safe and healthy  
2 because of this wonderful public health thing.  
3 You know, screening isn't perfect. You know, we  
4 still need to keep -- be vigilant for your baby's  
5 health.

6           Those kinds of things happen every day in  
7 physicians' office, or should, and -- and that's  
8 another opportunity to, sort of, raise awareness.  
9 So, a -- a -- a -- a critical element in this  
10 educational process has to be education about the  
11 process itself. I think that's one of the things  
12 Mei was emphasizing.

13           The second thing I'd comment on is that  
14 there's a whole new cohort of specialty  
15 physicians, who have never really thought very  
16 much about newborn screenings, who are suddenly  
17 encountering it: the immunologists, who now have  
18 to do with -- deal with newborn screening results  
19 for SCID, the neurologists who are suddenly now  
20 going to have to hear about SMA results. And so,  
21 there's another facet of education that I just  
22 want to put on the table as long as we're putting

1 those on the table, although I don't think that's  
2 the direct target of the conversation today. I  
3 just don't want us to forget that there's a whole  
4 new cohort, in addition, that requires some  
5 guidance and information about the utility of  
6 newborn screening.

7 DR. JOSEPH BOCCHINI: Thank you. Now we  
8 have comments or questions from organizational  
9 representatives. I have Bob Ostrander first,  
10 then Natasha Bonhomme, and then Susan Tanksley.

11 So, Bob?

12 DR. ROBERT OSTRANDER: First of all, when  
13 we're talking about an educational initiative to  
14 remind physicians who receive test results that  
15 there are such things as false negatives, and  
16 they should not ignore symptoms, I think it's  
17 very important, if we're going to do that, that  
18 in the same presentation, we remind people that  
19 these are screening tests that need confirmation.  
20 If you just talk -- talk about the possibility of  
21 false negatives, the logical thought of the  
22 person receiving that message is going to be that

1 all positives are true positives, and that is an  
2 equal problem.

3           And it's interesting: You know, I -- I  
4 have been working on educational projects with  
5 family physicians, lecturing and -- and sessions,  
6 and for some reason, the -- the fact that newborn  
7 screening is a screening test -- It seems to be  
8 separate in everybody's mind from other screening  
9 tests we deal all the time -- with all the time,  
10 like mammograms and colon cancer screening, where  
11 these principles are fairly obvious. So, you  
12 know, again, I think you need to -- if you're  
13 going to address the false negatives, you've got  
14 to address the -- the fact that these are  
15 screening tests and that positives need  
16 confirmation.

17           A second issue is, I just would affirm  
18 that we should try to pull all these different  
19 efforts together on this and not have a whole  
20 bunch of siloed projects that are slightly  
21 different, one from the other. The AAFP doesn't  
22 have, kind of, a specific thing on newborn

1 screening that we're disseminating widely as part  
2 of certification or anything. I mean, I gave a  
3 lecture recently to a national meeting about it,  
4 but I -- I think we should work together. And,  
5 again, there's a -- we're working on this -- the  
6 Education and Training Workgroup is working on  
7 this, how to deliver news, and I think a lot of  
8 that gets incorporated with this.

9           The other issue I'm just going to mention  
10 in terms of the AAFP is, this is always hard to  
11 get on -- on radar, because the family pregnancy  
12 community has broad education on genetics and  
13 genomics, and when we were talking, I just looked  
14 up what the syllabus is for our self-assessment  
15 module on genetics is, and it's -- for family  
16 docs, an awful lot of it's on the adult stuff:  
17 the 23andMe, cancer genetics, family cancer  
18 syndromes, and all that. So, you know, on our  
19 end of the world, it's going to be a little bit  
20 harder push, I think, than for the pediatricians,  
21 but I'm willing to continue to lead the charge.

22           And most importantly -- and I think this

1 is probably the thing where the Committee should,  
2 I mean, exert whatever pressure it can, is -- I  
3 agree with whoever it was that said, having this  
4 little educational blurb, in a just-in-time  
5 format, when the result comes is going to be  
6 critical, because we're not going to get traction  
7 with publishing white papers or sending out  
8 modules and -- or even, necessarily, having  
9 people try to go to the ACT sheets when they get  
10 the positive results. So, you know, if -- if we  
11 could do or facilitate the production of a  
12 suggested little blurb that we could disseminate  
13 to the state labs and -- and encourage them to  
14 include that when they send test results, both  
15 positive and negative, I think that's probably  
16 where we would have our biggest impact.

17 DR. JOSEPH BOCCHINI: Thank you for the  
18 comments, Bob.

19 Natasha Bonhomme?

20 MS. NATASHA F. BONHOMME: Hi, can you  
21 hear me?

22 DR. JOSEPH BOCCHINI: Yes, we can. Go

1 ahead.

2 MS. NATASHA F. BONHOMME: Thank you. So,  
3 there's been a lot covered that I could speak to,  
4 but two pieces, one in terms of the document that  
5 could explain, you know, returning results and  
6 what they mean. This is something that, through  
7 Baby's First Test, our state workgroup has  
8 created, and it is currently going through the  
9 final stages of review with our Community and  
10 Consumer Workgroup, so that it has been viewed by  
11 experts on both the technical lab side as well as  
12 experts on the family experience side. So, once  
13 that's ready, we are happy to share that with  
14 anyone and everyone that's interested in it.

15 And I think that's just an example of the  
16 fact that there are so many initiatives and  
17 documents and things being created, particularly  
18 around education, that having that effort already  
19 been done, it'll be really great to see, how do  
20 we pull all of that together and also look at it  
21 from more of a health communications-  
22 communications science perspective.



1           And what I mean by that is that it isn't  
2 just about having the information be accurate but  
3 that we also understand how we're going to get it  
4 into the -- into someone's hands so that they  
5 read it, they digest it, and they know what to do  
6 with it. And I think that's a really critical  
7 piece and, oftentimes, the piece that people  
8 don't spend as much time on but is, kind of, the  
9 main thing. It's great to create something, but  
10 if it doesn't go anywhere, and the same people  
11 just keep reading the same things, then we really  
12 aren't moving the needle.

13           And I think, as we're continuing this  
14 discussion, for me, it was really hard to track  
15 if we're talking about a media issue, which is  
16 one thing, an education issue, which is another  
17 thing, or -- or/and are we looking for a certain  
18 type of behavior change. Those are all different  
19 and really need different approaches. So,  
20 depending where the Committee goes in terms of  
21 how this is prioritized and how it's addressed, I  
22 really encourage you to look at it from that

1 viewpoint, as well, because through Baby's First  
2 Steps, that's really what we've learned in our --  
3 you know, the site's, I guess, now seven years  
4 old -- in working on this, that it's about having  
5 both the concrete content but also how it's going  
6 to get out there and the health communication  
7 science behind it, so. And as always, I'm always  
8 happy to help with any of those initiatives and  
9 bring the experiences that we have into that.  
10 So, thank you.

11 DR. JOSEPH BOCCHINI: Thanks, Natasha. I  
12 think I'd like to -- I think that all three of  
13 those elements are -- are components of what we  
14 need to consider. So, I think that's a really  
15 good way to put it.

16 So, up next is Susan Tanksley, then  
17 Debbie Freedenberg, and then Beth Tarini.

18 DR. SUSAN M. TANKSLEY: Hi, this is Susan  
19 Tanksley. Can you hear me?

20 DR. JOSEPH BOCCHINI: Yes, we can. Go  
21 ahead, Susan.

22 DR. SUSAN M. TANKSLEY: All right. So,

1 why I originally raised my hand was because I  
2 wanted to emphasize that -- like Dr. Berry did,  
3 that I think the issue here is more about those  
4 results that come back and appear to be normal,  
5 or negative, however you want to term them, and  
6 the response of the person receiving those  
7 results, so the pediatrician, and how that  
8 information is understood and relayed to the  
9 family then.

10           The point that Natasha just brought up,  
11 and -- and I think Beth also brought it up, was  
12 the question of, you know, what is it that we're  
13 looking at? Is it the media issue? Is it an  
14 education issue, and is the behavior change  
15 needed? And I think the answer is, it's all  
16 three of those.

17           So, the reason this issue initially came  
18 up was because it was a media issue, and some of  
19 the stories that were told, you could -- you  
20 could tell from reading them that it was the  
21 response of the physicians that could have been  
22 different and would have changed the outcome.

1 And so, therefore, I think that there, you know,  
2 is the education issue that we've been talking  
3 about, and that is back to, what is a -- what  
4 does a normal screening result mean, and what  
5 does it not mean? And that behavior change  
6 needed is, I think, based on education that would  
7 be provided.

8 DR. JOSEPH BOCCHINI: Thank you, Susan.  
9 Debbi?

10 DR. DEBRA FREEDENBERG: Morning. Sorry,  
11 I have some technical challenges here.

12 But -- so, most of what I wanted to  
13 comment on has -- has already been said, but what  
14 I wanted to emphasize is that we hear, over and  
15 over again, we invest a lot in education across  
16 the whole spectrum of newborn screening  
17 stakeholders, and we still have the same concerns  
18 and issues as the rest of the country does in the  
19 response to the screening test. And we have  
20 heard -- even on positive screens, we have heard,  
21 over and over again, from various programs and  
22 various parents that the pediatrician or the

1 primary care's response was that: If something's  
2 wrong, the lab would have contacted me, or the  
3 program would have contacted me, or somebody  
4 would have contacted me; so, I'm not taking  
5 action on this. You know, for us, we have  
6 internal follow-up, and we can handle that, but  
7 I've seen it, over and over again, across the  
8 country.

9           So, part of that discussion is truly what  
10 a negative test means but -- as well as raising  
11 awareness that just because you didn't get a  
12 special contact, however your state's running it,  
13 that you still have to be cognizant of that  
14 child's symptoms or lack of symptoms and that  
15 child's risk.

16           DR. JOSEPH BOCCHINI: Thank you. Now I  
17 have Beth Tarini, Scott Grosse, and Mei Baker.

18           Beth?

19           DR. BETH TARINI: So, one reminder, and I  
20 -- I bring this up often during my E&T Workgroup  
21 meetings, is that we are a committee with no  
22 funding -- I mean limited, depends how you want

1 to define the funding, but -- but these projects  
2 -- I agree that these are all problems, but  
3 sometimes we get a little -- I -- I worry we get  
4 a little -- you know, we're going to, you know,  
5 resolve world peace. That doesn't mean I don't  
6 think we should reach for the sky. I think we  
7 should dream large but with realistic  
8 expectations that -- that -- that our workgroups  
9 and our committee do not have funding to do  
10 robust projects.

11           That being said, I think we could  
12 leverage the existing -- and I always say this in  
13 the education community -- the same resources,  
14 connections, that are rich in this committee and  
15 its workgroups, so -- and be very mindful of,  
16 again, what we're already doing and -- and how  
17 that can be leveraged. And so, maybe, on the  
18 heels of this, it would be helpful, at the next  
19 meeting, to have the stakeholders from -- who are  
20 already engaged in educational activities at  
21 APHL, at Genetic Alliance, at CDC, present what  
22 is actually the landscape and what we're actually

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 doing already.

2 DR. JOSEPH BOCCHINI: All right, thank  
3 you.

4 Scott Grosse?

5 DR. SCOTT GROSSE: Hi, Scott Grosse. I  
6 had suggested to Kellie and the workgroup at APHL  
7 that the endocrine disorders could use more  
8 attention. Most of the report focuses on  
9 metabolic disorders, and I think that's very well  
10 done, but the most common disorder in newborn  
11 screening is congenital hypothyroidism. And  
12 there's a lot of variability across states and  
13 how it is done, including one screen versus two  
14 screens, because there's a recent MMWR article  
15 from Utah which points out that many children are  
16 identified on a second screen in that state that  
17 would not be identified on the first screen.

18 And so, more discussion about the sources  
19 of variability for the congenital hypothyroidism,  
20 I think, would be of great interest, maybe a  
21 topic for future discussion. Thank you.

22 DR. JOSEPH BOCCHINI: Thank you.

1           Mei Baker?

2           DR. MEI BAKER: Yeah, I just wanted to  
3 quickly share my reflection with this very -- I  
4 feel very rich discussion, and that if it can  
5 view -- I mean, myself, take-home message for the  
6 discussion is, I feel there's not a single way we  
7 can accomplish what we want to accomplish. I do  
8 feel, due to foundation, it's important.

9           It's why I feel Sue's work is very  
10 important, because that is, in a fashion, non-  
11 biased and a very comprehensive medically to help  
12 primary care physicians build a foundation, what  
13 a newborn screening, how, what -- and false  
14 positive/false negative all be comprehensive, but  
15 with this, adding on the in-time information --  
16 because we don't expect, at that moment you have  
17 screening positive report in your hands, you just  
18 would use one sheet. You can have 40 on the  
19 standard newborn screening system. So, I think  
20 it is combination.

21           But also, I really think Natasha make so  
22 important a point is communication signs. So, we



1 -- you think about ourself, NewSTEPS, APHL,  
2 because we don't have direct connection with the  
3 family, direct connection with the primary care  
4 physician. We can do the best is create  
5 material, but the material you create is not get  
6 to the people we want to reach, and I think can  
7 be inefficient. So, I -- I just feel like all  
8 the pieces are there, how we link them together,  
9 and I think will be better to get where we need  
10 to be.

11 DR. JOSEPH BOCCHINI: Thank you.

12 And then, Natasha?

13 MS. NATASHA F. BONHOMME: So, hi. I  
14 think the one -- two pieces I wanted to bring up  
15 is, you know, we did talk about the investment in  
16 education, and I would challenge that we invest a  
17 lot considering when you look at other large-  
18 scale campaigns, it's always the education piece  
19 that is the multi-million-dollar, multi-multi-  
20 year approach.

21 And so, we have to think of it from that  
22 viewpoint, as well as the fact that education

1 can't just happen once. People are having babies  
2 every day. People are going into the health  
3 profession every day. And so, it's something  
4 that's ongoing, and so, just putting that within  
5 that context --

6           And then, also, just to reiterate in  
7 terms of the point of the provider education: It  
8 is really important that we make sure that the  
9 messages that are going out to health providers  
10 are not just compatible but complementary to the  
11 messages that we're putting out to families,  
12 because so often, we know that healthcare  
13 providers are looking for not just the general  
14 concepts of what to say to families but the exact  
15 language around it. It's why there is such a  
16 high usage of Baby's First Test by health care  
17 providers, so we really target towards families.

18           And so, when we are down the line in  
19 thinking about how to get this information out  
20 there and how are we going to engage health  
21 professionals in making sure they have what they  
22 need, to really look at their behaviors of how

1 they get information, and, again, not speaking  
2 about the specialists who are deep in newborn  
3 screening but all the people, as I believe it was  
4 Sue Berry who was saying, that people who haven't  
5 been trained around this, who didn't realize this  
6 would be on their plates, but they are because of  
7 the conditions that we are now screening for.

8           So, just a bit more food for thought as  
9 the Committee determines what the next steps are  
10 and -- and how we will be seeing -- what are the  
11 key questions in this we -- you need to address,  
12 and how will we be addressing them. Thank you.

13           DR. JOSEPH BOCCHINI: Thank you, Natasha.  
14 I think this has been a really excellent  
15 discussion and, I think, gives us much food for  
16 thought on -- on next steps. And -- and I think  
17 that it's clear that the Lab Standards Workgroup  
18 has contributed significantly to the APHL  
19 document, as well as to education back and forth  
20 with the full Committee, and we're going to see  
21 how that document gets finalized, but I -- I  
22 think that it is near -- near coming out on it --

1 on the APHL website. And it looks like QI  
2 projects are now going to be coming forward  
3 through APHL that will help enhance the -- the  
4 efforts that will be made to utilize the  
5 resources that are on that document.

6           And it looks like, from our discussion  
7 today, that the focus is really, now, for us,  
8 more on, how do we -- how do we educate the  
9 media, the -- the parents, the public, and  
10 providers on how to get the correct messages out  
11 about newborn screening, how to interpret  
12 results, and, most importantly -- I think, maybe,  
13 Susan Tanksley mentioned this, about  
14 understanding -- having providers and parents  
15 both understand that newborn screening is  
16 important but that a normal test doesn't rule out  
17 a condition. And so, that -- I -- and I think  
18 that -- that's -- that's probably the most  
19 important message, I think, that all providers  
20 should learn when they're taking care of patients  
21 who come in with acute symptoms.

22           So, I think we need to continue to have

1 the Lab Standards Workgroup look at what's coming  
2 from APHL and the Education and -- and Training  
3 Workgroup continue to evaluate, as Beth  
4 indicated, perhaps, first step, maybe looking at  
5 the landscape and then coordinating efforts with  
6 -- with Natasha and -- and -- and others to try  
7 and -- and -- and not work independently, when we  
8 can cross-fertilize what -- what we're doing in -  
9 - in a variety of different areas. So, I want to  
10 thank everybody for a really good discussion, and  
11 I think that helps us move forward.

12           And then, lastly -- this is not directly  
13 related, but from what Scott mentioned about the  
14 hypothyroidism, the article that he mentioned is  
15 included in your briefing book so that Committee  
16 members can certainly look at that, and then we  
17 can certainly consider that for future meetings  
18 to kind of -- to look at that and see how that  
19 fits with, perhaps, going forward.

20           So, that'll conclude this session, and  
21 now, we're going to take a short break. I think  
22 we are pretty much on schedule, so we'll come

1 back at 20 minutes after the hour -- that's 11:20  
2 Eastern Time -- and continue with the next  
3 presentation. So, everybody take a short break.  
4 We'll see you back in about seven or eight  
5 minutes. Thank you.

6 (Whereupon, the above-entitled matter  
7 went off the record and then came back on.)

8 DR. JOSEPH BOCCHINI: All right, welcome  
9 back, everybody. This is Joe Bocchini. I've  
10 been reminded that each time anyone speaks that  
11 even though I've called your name, that you need  
12 to give your first and last name for the people  
13 who are recording the session.

14 So, everybody's back on board. Let's  
15 continue with the meeting. Next, we have a  
16 presentation from Marci Sontag.

17 DR. CATHARINE RILEY: And this is  
18 Catharine Riley. Just, we are having a little  
19 bit of technical difficulty. Dr. Sontag, we're  
20 trying to pull up your slides now if you can give  
21 us just a moment. Thank you.

22 DR. MARCI SONTAG: No problem.

1 (Period of silence)

2 DR. CATHARINE RILEY: This is Catharine  
3 Riley again. Thank you, everyone, for your  
4 patience while we pull up the next of the slides.

5 (Period of silence)

6 DR. MARCI SONTAG: Catharine, this is  
7 Marci. We can see the slides online. I'm not  
8 sure if there's just a problem in the room?

9 DR. JOSEPH BOCCHINI: We are not able to  
10 see them at the moment, but --

11 DR. CATHARINE RILEY: So, Marci --

12 DR. MARCI SONTAG: Okay.

13 DR. CATHARINE RILEY: -- you're saying  
14 you can see them?

15 DR. MARCI SONTAG: I can see them.

16 DR. CATHARINE RILEY: Okay, great.

17 DR. JOSEPH BOCCHINI: Okay. So, just  
18 some -- a couple of Committee members, can you  
19 see them, as well?

20 DR. SCOTT M. SHONE: Yes. This is --

21 FEMALE SPEAKER: I can see them.

22 DR. SCOTT M. SHONE: -- Scott Shone.

1 UNKNOWN SPEAKER: I can see them online,  
2 yep.

3 DR. JOSEPH BOCCHINI: Okay. Well, then,  
4 we'll go ahead and get that started, and then  
5 we'll do our best to try and fix it in -- in the  
6 home office here.

7 So, Dr. Sontag is the Director of the  
8 Center for Public Health Innovation at CI -- CI  
9 International. She's also the Director of  
10 NewSTEPS 360 and will be sharing with us today  
11 several videos that have been created by state  
12 newborn screening programs in conjunction with  
13 the families that they serve in their states.  
14 Embedded in Dr. Sontag's presentation are three  
15 videos related to timeliness. She will be giving  
16 us the story behind these stories, why and how  
17 these videos were created, and how they can help  
18 us spread the word about the importance of  
19 timeliness in newborn screening.

20 So, Marci, the floor is yours.

21 DR. MARCI SONTAG: Thank you so much.  
22 I'd like to thank you, Dr. Bocchini, and the



1 Committee for inviting me to speak today. We --  
2 we have spent a lot of time with this committee,  
3 talking about the data and what we know about the  
4 data from our timeliness efforts, our efforts to  
5 improve timeliness in newborn screening, and now  
6 I'm going to spend some time today talking about  
7 some of the work that's happened behind the  
8 scenes that some of you may not know about, some  
9 of which is displayed in videos, as Dr. Bocchini  
10 has already introduced, and then others -- and  
11 some other tools that have been developed by  
12 NewSTEPS 360 and our -- the states that we are  
13 partnering with.

14           This slide demonstrates -- or displays an  
15 infographic that really shows the complexity of  
16 NewSTEPS 360. In the center, you see a clock  
17 that is the very little representation of what  
18 we're trying to fix here, that timely newborn  
19 screening, and in that gray, inner circle there,  
20 you'll see 12 icons that represent the activities  
21 of NewSTEPS 360 and what we do within this  
22 project.

1           So, starting at 12:00 and then moving  
2 clockwise, you see we have quality improvement  
3 coaching, we have our online data repository at  
4 NewSTEPS.org, the CQI framework with the PDSA  
5 study -- study and act cycle. We have annual,  
6 in-person meetings that we have conducted at  
7 3:00, technical assistance, financial assistance  
8 that's provided directly to the states to help  
9 improve timeliness, the monthly all awardee  
10 webinars at 6:00, connecting newborn screening to  
11 -- programs to partners in other states -- and  
12 look at that network that has been developed and  
13 the community that -- that has been developed  
14 with NewSTEPS 360 -- data visualizations to  
15 monitor progress and change -- and you have seen  
16 some of those presented to this committee already  
17 -- the facilitation of learning collaboratives to  
18 identify common solutions at 9:00. We have tools  
19 and resources that are both developed by and  
20 provided to state newborn screening programs,  
21 and, finally, a community for sustainability at  
22 11:00.

                                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1           On the outer ring, you see some of the  
2 ways by which states have attacked the timeliness  
3 problem. They're providing education to  
4 hospitals, midwives, birthing facilities to help  
5 collect newborn screening samples earlier.  
6 They're expanding courier services, such that  
7 newborn screening samples can arrive to state  
8 labs faster, increasing the lab hours so that  
9 state labs can test newborn screening samples  
10 sooner, and within that, they're also working  
11 efficiently within their lab processes and  
12 improving those lab workflows to then get those  
13 results to the doctors faster. They also are  
14 implementing -- implementing HIT systems that  
15 help them to share that data in a more efficient  
16 manner, such that those results get out, and  
17 individuals can act on behalf of that baby, and,  
18 ultimately, babies' lives are saved.

19           Next slide. We all know that newborn  
20 screening -- timely newborn screening is  
21 critical, and we've spent much of our time within  
22 the discussions of this committee talking about

1 how important that timely newborn screening is,  
2 but I want to spend just a moment looking back to  
3 where we have come from.

4           Next slide. We know that this has been -  
5 - discussions have been coming to this committee,  
6 very driven by parents and advocates, since --  
7 Oh, I'm sorry, can you go back in the -- We were  
8 just talking about that one. Thank you so much.  
9 And it was the parents' public comments to the  
10 Secretary's Advisory Committee, as highlighted  
11 here on the Baby's First Test webpage, that  
12 really brought national attention to this issue  
13 of how important this timely newborn screening is  
14 and that while newborn screening has worked so  
15 well for most babies, there have been cases in  
16 which that timely -- lack of timeliness or a  
17 delay in the system has led to a tragic result.  
18 So, we really -- we must go back to the advocates  
19 and parents who have helped us to recognize this  
20 is such a critical problem.

21           That received some -- those problems  
22 received the national attention in the Milwaukee

1 Journal Sentinel in 2013, and following that and,  
2 really, in conjunction with that, this committee  
3 continued to talk, and that -- those Journal  
4 articles really regvanized our efforts, and  
5 this committee then developed newborn screening  
6 timeliness goals that state newborn screening  
7 programs are using. At NewSTEPS, we are using  
8 those to help set goals for state newborn  
9 screening programs and have focused our efforts  
10 so we're working toward one common goal across  
11 the country.

12           Next slide. Within that, HRSA developed  
13 a funding opportunity, and this was before  
14 NewSTEPS 360. This is what I will call a newborn  
15 screening timeliness mini CoIIN, and the CoIIN is  
16 a Collaborative Improvement and Innovation  
17 Network, or a learning collaborative. In this  
18 case, it was specifically developed to improve  
19 timeliness through the newborn screening system.

20           Next slide. This CoIIN was run in 2014  
21 and 2015 and was an 18-month effort in which 8  
22 programs participated. We had five members from

1 each of those state newborn screening programs on  
2 each team, and each team was required to include  
3 a hospital representative. This could have been  
4 a representative from a hospital association or  
5 someone who works closely in a hospital on  
6 newborn screening and could speak to the  
7 challenges of education and collecting timely  
8 newborn screening samples in an appropriate way  
9 and shipping them in a timely way to the newborn  
10 screening lab.

11           It's important to note for this that  
12 there's no direct state funding. While we paid  
13 for these states to travel to APHL for in-person  
14 training, there was no direct funding for  
15 projects. The states did learn continuous  
16 quality improvement techniques and were trained  
17 on those and then how to apply those to their own  
18 local projects.

19           One of the key elements of any CoIIN --  
20 and one thing we're especially proud of with this  
21 particular CoIIN -- is the connections that were  
22 made. There was one -- there was one in-person

1 meeting, as I mentioned, where they received  
2 training. Then, there were monthly coaching  
3 calls in which our coach checked in with these  
4 states and developed a plan for continuous  
5 quality improvement. He had monthly webinars, in  
6 which all eight programs gathered together to  
7 share their successes, share their challenges and  
8 failures, and what we learned from each other.

9           And one thing I can say about this  
10 particular CoIIN is, the connection that those  
11 eight states made was really -- was really  
12 remarkable. And we did that a lot by focusing on  
13 virtual engagement: How do we make sure that  
14 people are engaged even when on a webinar or on a  
15 phone call? And we have learned that the lessons  
16 from this really have brought up capability.

17           So, next slide. With that, I'm going to  
18 introduce our first video, and this was from this  
19 mini CoIIN, and we asked these eight states that  
20 were participating to share their lessons learned  
21 with us in a short video that they recorded on  
22 their iPhones and sent in to us -- or their other

1 smartphones. You can go ahead and play the  
2 video.

3 DR. CATHARINE RILEY: Dr. Sontag, this is  
4 Catharine Riley. So, we are loading the video.  
5 It should start shortly.

6 DR. MARCI SONTAG: Thank you.

7 (Video plays)

8 UNIDENTIFIED SPEAKER: There's no sound.

9 FEMALE SPEAKER: So, Catharine, we're not  
10 hearing any sound on this.

11 (Video plays)

12 FEMALE SPEAKER: Keep at it. Be patient  
13 and diligent, and never give up.

14 FEMALE SPEAKER: Number nine: Have a  
15 strategy. There are many right ways to approach  
16 timeliness. Spend your time on the smart ones  
17 that will work within your paradigm.

18 FEMALE SPEAKER: Number eight: Focus on  
19 your high-volume providers first. They can make  
20 a big impact on your outcome quickly.

21 MALE SPEAKER: Number seven: Keep in  
22 mind, this is for the babies. Some needed



1 changes won't affect the outcome data, but they  
2 are the right thing to do for the newborn.

3 FEMALE SPEAKER: Hi, everyone. Number  
4 six: Don't forget maintenance. Maintaining  
5 timeliness is just as difficult as when you get  
6 timeliness started. Don't forget: Maintenance is  
7 important.

8 MALE SPEAKER: Number five: Talking to  
9 and learning from other states is so important.

10 FEMALE SPEAKER: Number four: Find out  
11 what is happening in other places. Don't assume  
12 you know what other departments are doing, and  
13 investigate the current processes.

14 FEMALE SPEAKER: Number three: Help  
15 others understand the impact of timely newborn  
16 screening on the families. Don't assume everyone  
17 knows why timeliness is important. Start with a  
18 why.

19 FEMALE SPEAKER: Number two: Remember to  
20 include all the newborn screening partners within  
21 the state that impact timeliness.

22 FEMALE SPEAKER: To ensure timely newborn

1 screening, it takes a team and champions from  
2 each unit in the hospital, including nurses, lab  
3 staff, quality improvement managers, and don't  
4 forget the couriers. And speaking of champions--

5 (Drum roll)

6 FEMALE SPEAKER: Education and feedback  
7 to the partners is key. Once providers are made  
8 aware of the reasons for timeliness initiatives,  
9 they will run with it. Be prepared for an  
10 increase in data requests and technical  
11 assistance.

12 (Video ends)

13 DR. MARCI SONTAG: So, thank you so much  
14 for showing the video. Catharine, we weren't  
15 able to hear any sound on the webinar, but this  
16 one worked well without sound, and I'd like to  
17 give a quick shout out to all of those who  
18 participated in this video. And I'm sorry we  
19 couldn't hear your voices, but we were able to  
20 read what you said. But, hopefully, we can  
21 figure out the sound before we get to the last  
22 two videos, because I'm not sure they are going

1 to have the same impact without sound.

2           So, I will continue on with my  
3 presentation --

4           DR. CATHARINE RILEY: All right, Marci --  
5 Sorry, this is Catharine Riley. Did you say, on  
6 your end, you couldn't hear any sound?

7           DR. MARCI SONTAG: I couldn't.

8           DR. CATHARINE RILEY: Okay.

9           DR. MARCI SONTAG: I've gotten some  
10 texts, and some of them said they did have sound.  
11 I know some people did not have sound. So, I  
12 don't know, maybe it's on the --

13           MALE SPEAKER: No --

14           DR. MARCI SONTAG: -- computer you have  
15 sound, and on the phone you do not. Maybe that's  
16 the challenge.

17           DR. CATHARINE RILEY: Sure. Okay. Thank  
18 you for the clarification. So, for the folks --  
19 the Committee members, organizational reps, and  
20 speakers -- who are both on the webinar and on  
21 the conference line, we did ask you to mute your  
22 speakers to -- you know, so there isn't feedback.

1 So, during the -- the viewing of the videos, for  
2 the Committee members, org reps, and speakers,  
3 you will have to unmute your -- For anyone on  
4 the phone, anyone who's called in, yeah, you'll  
5 have to unmute --

6 FEMALE SPEAKER: Understood.

7 DR. CATHARINE RILEY: -- to hear.

8 FEMALE SPEAKER: Mm-hmm.

9 DR. CATHARINE RILEY: Yeah, thank you,  
10 and -- and we will pause --

11 DR. MARCI SONTAG: Great.

12 DR. CATHARINE RILEY: -- for that before  
13 we view the next video.

14 DR. MARCI SONTAG: Perfect. Thank you,  
15 Catharine. That's --

16 DR. CATHARINE RILEY: Thank you.

17 DR. MARCI SONTAG: I'm glad to know that.  
18 Okay.

19 So, we'll move on to the next slide. And  
20 so, following up on the success of that mini  
21 CoIIN, HRSA announced a newborn screening  
22 timeliness quality improvement initiative, and

1 this was announced at the same time that this  
2 committee was finalizing those goals for -- and  
3 voting on the goals for our -- our timeliness.  
4 So, three years of funding that was announced was  
5 CQR10-based (phonetic) and state-based projects,  
6 and this time, those state-based projects did  
7 come with funding for states. So, they could  
8 tackle something that they knew was a challenge  
9 within their states and have some funding to  
10 support that to make those changes that are  
11 needed. So, with 24 programs -- or there  
12 currently are 24 programs encompassing 28 states  
13 that were funded, and, again, our key to success  
14 on this is a collaboration between the states,  
15 the partners, and the NewSTEPS and NewSTEPS 360  
16 staff that is working with them.

17           Next slide, please. As mentioned  
18 earlier, each team chose their own focus area,  
19 and there's a missing hospital in the upper left  
20 corner, but this is the -- focus areas could be:  
21 the education within the hospitals, getting them  
22 to collect those samples, getting the samples to

1 the laboratories faster, working on laboratory  
2 processes, working within data systems to improve  
3 how data is shared, and then, finally, that  
4 follow-up process to get those results out to the  
5 pediatricians and the subspecialists.

6           Next slide. And we know that we've seen  
7 -- there are -- have been great improvements in  
8 timeliness. While we're still not completely  
9 there, we still have work to be done, the  
10 timeliness efforts that have gone into this --  
11 the efforts that have gone into improving  
12 timeliness have really made great improvements in  
13 the system, and tens of thousands of babies -- or  
14 hundreds of thousands of babies are being  
15 screened earlier throughout the country, with  
16 those results being reported out in a more timely  
17 fashion. You saw those data presented by Mr.  
18 Joshua Miller in November of 2017, in a  
19 presentation to the Secretary's Advisory  
20 Committee, highlighting that interim success.  
21 And so, as we know, it takes a village of unsung  
22 superheroes.

1           Next slide. Here's a picture -- or some  
2 pictures of those unsung superheroes, and just a  
3 few of them, at one of our NewSTEPS 360 in-person  
4 meetings. You see them sitting at a roundtable,  
5 rolling up their sleeves, and really diving into  
6 the challenges of newborn screening and fixing  
7 the timeliness problem.

8           And this is just a small snapshot of the  
9 people who are working on this -- this challenge  
10 throughout our country. You think of all of the  
11 people who are on our phone, the people who are  
12 working in hospitals, birthing centers,  
13 laboratories, working for the couriers, working  
14 in follow-up. There's a network of people  
15 working to ensure that individuals -- individual  
16 babies that are born with these life-threatening  
17 disorders have the best chance through a timely  
18 newborn screening.

19           Next slide. One of the tools that has  
20 been developed by this network of individuals is  
21 a timeliness toolkit, and we've done this in  
22 partnership with the March of Dimes and ASTHO.

1 And this toolkit has really been developed to  
2 help states work towards having expanded courier  
3 service and more operating hours, or expanded  
4 operating hours, within their state newborn  
5 screening programs. And I'd like to give a quick  
6 shout out to Sarah McCaffin (phonetic) for her  
7 efforts in pulling this timeliness toolkit  
8 together. This is available at NewSTEPS.org, and  
9 if you haven't already seen it, I would highly  
10 encourage you to do that.

11           Next slide. And now I want to take just  
12 a moment to talk about what we do at our -- our  
13 in-person meetings. This is our final NewSTEPS  
14 360 in-person meeting. As mentioned earlier and  
15 as you saw in the snapshots of people at the  
16 meetings, we have opportunities for networking,  
17 for sharing ideas and solutions, really bringing  
18 state newborn screening programs together. And  
19 this is a picture of -- this is half of the room  
20 at our last meeting of bringing those people  
21 together to share ideas and solutions.  
22 Individuals are getting up early, staying up

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376



1 late, and talking over dinners and happy hours  
2 about how we can improve the newborn screening  
3 system.

4           At this particular meeting, we also had a  
5 focus on skill building. Some of the examples of  
6 that is, we had workshops and breakouts on  
7 budgeting, on building the process maps, and on  
8 storytelling to share that story of our successes  
9 in newborn screening. And what we found is,  
10 there are so many successes in our program, and  
11 we are working so hard to make those successes  
12 happen, that -- that sometimes, we don't have  
13 time to share those stories. And sharing the  
14 stories of the successes helps to get buy-in from  
15 our legislators, the decision-makers within  
16 states, from families, from advocates, and it  
17 also helps us to celebrate our own successes.

18           Next slide. So, one of our skill-  
19 building sessions was a video-production skill  
20 building. Many state newborn screening programs  
21 proposed to develop videos specific to their  
22 states, so they can share those successes and

1 stories, or maybe they use those videos for  
2 training purposes. And hiring a video-production  
3 crew can cost a lot of money and be outside of  
4 the budget of a typical newborn screening  
5 program.

6           So, we brought this group in to help  
7 demonstrate how to develop an inexpensive video  
8 and give them those tools of, what can you do  
9 with a video with just your smartphone and a few  
10 other tools that might cost \$300 or \$400 total,  
11 and then how do you pull that story, that  
12 interview, together with a few simple skills.  
13 So, it was about a 45-minute breakout session  
14 that helped to arm our state newborn screening  
15 programs with those skills.

16           Within that process, we wanted to  
17 demonstrate how this could be done and what those  
18 results of a video just done by your iPhone or  
19 with a smartphone -- what that could look like.  
20 So, this group, Denver Film and -- Denver Film  
21 and Digital created a custom video that's  
22 thanking newborn screening professionals.

1           Next slide. Now I'd like to introduce  
2 that video, and we played this video to kick off  
3 the beginning of our last NewSTEPS 360 meeting as  
4 a way to bring everyone together and to say:  
5 Thank-you for all the work that you have done.  
6 So, with that, I would like to start the video.

7           DR. CATHARINE RILEY: Okay, Dr. Sontag.  
8 So, we're going to pull up the video, and just to  
9 remind Committee members, org reps, speakers, and  
10 anyone listening in on the phone: If you have  
11 muted your speakers for the webinar, if you could  
12 go ahead and unmute those, and, hopefully, that  
13 will solve the issue of folks being able to hear  
14 that. So, we'll load that now.

15           (Period of silence)

16           DR. CATHARINE RILEY: Hi, this is  
17 Catharine Riley again. (Audio feedback). If you  
18 unmute your computer speaker, you should be able  
19 to hear the video, and we'll go ahead and get  
20 that started.

21           (Video plays)

22           FEMALE SPEAKER: Hello?

1 DR. MARCI SONTAG: Catharine, this is  
2 Marci. Can you all see the video?

3 DR. CATHARINE RILEY: Hi, Dr. Sontag,  
4 this is Catharine Riley. Are you -- I think we  
5 have some folks that say they cannot hear the  
6 video; is that correct?

7 (Off-the-record conversation)

8 (Video plays)

9 FEMALE SPEAKER: My younger son was born  
10 in 2012. He was several pounds lighter than his  
11 brother and came two weeks early but was still  
12 perfectly healthy at birth. When he was three  
13 days old, we took him home from the hospital; it  
14 was then Friday night. We had a big family  
15 dinner, and we'd just finished singing Happy  
16 Birthday to the new baby and were cutting slices  
17 of cake when I heard my husband's phone ring, and  
18 it was our pediatrician who was calling. He had  
19 gotten a call from the Colorado newborn screening  
20 follow-up team that said our son had screened off  
21 the charts for a rare, genetic, metabolic  
22 condition called medium-chain acyl-CoA

1 dehydrogenase deficiency, or MCAD.

2           FEMALE SPEAKER: It was probably, like, a  
3 day or two after she was born. We -- you know, I  
4 took her to her pediatrician, you know, and they  
5 did her -- her newborn testing. And when I first  
6 found out, I was just told that, Your daughter,  
7 I'm sorry to let you know, has sickle cell  
8 disease; her hemoglobin is not normal by any  
9 chance. And that was just the start of our  
10 journey.

11           MALE SPEAKER: We got the phone call, and  
12 I wasn't home; his mom was. And then, she called  
13 me and said, Something's wrong with Mason. We  
14 need to get him in right away; it's urgent. And,  
15 you know, of course, I didn't know what to think,  
16 and I didn't know that it was from the testing at  
17 that point. And so, we took him back in and had  
18 to get the retest token (sic) from it, and that's  
19 when we found out he has hypothyroidism.

20           FEMALE SPEAKER: So, Noah died about  
21 10:00 p.m. on June 30th, 2009. And then, we got  
22 the call probably about 10:00 the next morning

1 from the pediatrician. He had heard what had  
2 happened and also gotten his newborn screening  
3 test results back and realized that the  
4 information had come too late.

5 DR. PETER BAKER II: The newborn screen  
6 happens with every -- almost every pregnancy and  
7 delivery in the United States, let alone the  
8 state of Colorado. It's a test that nobody  
9 thinks about, but when it's done the right way,  
10 it saves lives. When it's done the wrong way,  
11 lives can be lost. And so -- and part of that  
12 depends on timeliness.

13 MR. JOSHUA MILLER: Really, we've seen  
14 the biggest, I think, improvement in terms of the  
15 time from specimen collection at the hospital to  
16 receiving the specimen at the newborn screening  
17 laboratory, which is a huge component to  
18 improving timeliness.

19 DR. PETER BAKER II: I try to keep it in  
20 mind that each result we get back is a child;  
21 it's a life, and it's a life that we can  
22 potentially save. And so, I don't take that for

1 granted, never.

2 MR. JOSHUA MILLER: It means saving the  
3 very thing that probably means the most to every  
4 parent out there, and -- and that means the world  
5 to me. That -- that makes what we do with  
6 NewSTEPS very fulfilling.

7 FEMALE SPEAKER: I'm -- I'm incredibly  
8 grateful for the steps that this community has  
9 made towards making this a priority and trying to  
10 head off stories like mine from ever happening.  
11 That is the way that it should be, and I love  
12 their commitment to this, just as -- as strong as  
13 mine has been.

14 FEMALE SPEAKER: We -- I don't know where  
15 we would be without these newborn screenings. I  
16 think that we would be lost and probably in a lot  
17 of hurt and pain.

18 MALE SPEAKER: Mason, he's normal. He's  
19 a six-year-old, he's a handful, and he's -- he's  
20 perfect.

21 FEMALE SPEAKER: Newborn screening made  
22 all the difference in our life. We have a child

1 who grew and developed normally. Thank you for  
2 what you do. Thank you for giving families  
3 information that they need to keep their children  
4 safe. We are grateful for you every single day.

5 (Video ends)

6 DR. CATHARINE RILEY: We're going to  
7 switch back to your slides now.

8 DR. MARCI SONTAG: All right, thank you,  
9 and I apologize for any technical difficulties.  
10 If you would like to watch that video again, I  
11 would encourage you to do so. It's on our  
12 website, at NewSTEPs.org. And the message from  
13 that video -- you know, we had family advocates -  
14 - and these are advocates who have been very  
15 active. Some of them have come to speak at this  
16 committee meeting. Some have been very closely  
17 involved in the Baby's First Test Challenge  
18 Awards and other advocacy efforts with Baby's  
19 First Test. But you, hopefully, were able to  
20 hear them speak from the heart and say thank you  
21 for all that you do, and thank you for the effort  
22 that you put in, and they're really grateful for

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376



1 all of the work that you do every day.

2           Next slide, please. So, you can see some  
3 of those family advocates here in that last  
4 slide, and you might not be able to see the  
5 emotions in their faces here. This is at the  
6 closing of our meeting at NewSTEPS 360. We  
7 invited the families to come and watch a  
8 screening of this -- this video, and this was the  
9 first time they had seen it. And you could see  
10 the emotion in their eyes and the -- the -- the  
11 gratitude they have for all of the work that is  
12 happening among this community.

13           So, I want to take a moment and thank all  
14 of you on this committee and all of you who are  
15 listening on the webinar for all that you do for  
16 newborn screening and on behalf of these babies.  
17 The -- the army of people that are helping to  
18 make the system better is just inspirational.

19           So, the meeting closed with our final  
20 video and -- and a thank you, and these families  
21 came in and gave roses to everyone who was in the  
22 room to say, Thank you for all that you do.

1           So, with that, let's try -- this is our  
2 final video. Next slide or final video, either  
3 one. And as they're setting that up, just  
4 remember that there are many families out there,  
5 and I know many of you don't actually get to see  
6 those families, but they are very grateful for  
7 all of the work that you do in newborn screening.

8           DR. CATHARINE RILEY: Thank you, Dr.  
9 Sontag. This is Catharine Riley, again, and  
10 we're pulling up the last video, and we will also  
11 make sure that these are available on the  
12 Committee's website, posted along with your  
13 slides, for people viewing, as well.

14           DR. MARCI SONTAG: Thank you so much.

15           DR. CATHARINE RILEY: Go ahead and get  
16 this started. Thank you.

17           (Video plays)

18           FEMALE SPEAKER: To the community that  
19 has worked so hard to make this dream a reality:  
20 I just want to say, thank you so much from the  
21 bottom of my heart. It's incredibly validating  
22 that my story was heard and respected along with

1 other families that have been through similar  
2 situations as me. To know that this is something  
3 that you value, as well, and are willing to bend  
4 heaven and earth to make happen for -- for the  
5 children of our country -- So, thank you for --  
6 I know that it's hard, and it's expensive, but  
7 you guys have done it and continue to be  
8 cognizant of -- of, you know, the importance of  
9 timeliness. I just -- Thank you, thank you,  
10 thank you.

11 FEMALE SPEAKER: But we thank you, you  
12 know, for everything that you guys have done to  
13 try to, you know, make it easier and more  
14 accessible for us to know what our children could  
15 potentially go through.

16 MALE SPEAKER: Thank you for doing the  
17 newborn screenings. It's changed our lives and  
18 given us the son that we have today.

19 GROUP OF SPEAKERS: Thank you.

20 MALE SPEAKER: Thank you.

21 FEMALE SPEAKERS: Thank you.

22 ADULTS AND CHILDREN: Thank you.

1 CHILD: And thank you for saving my  
2 brother's life.

3 (Music plays)

4 (Video ends)

5 DR. MARCI SONTAG: So, really, very  
6 little else needs to be said. Thank you, all,  
7 for all that you do.

8 I'd like to go to my last slide and give  
9 a few thank yous, starting with: Thank you to the  
10 Committee for allowing us to present this and our  
11 work on NewSTEPS 360. We are very grateful to  
12 all the newborn screening programs who have  
13 joined with us for this effort. I'd like to  
14 thank the families who were involved in this  
15 video, Denver Film and Digital for making the  
16 video, our NewSTEPS CoIIN team, the eight states  
17 that initially participated, the 360 state and  
18 regional teams, and our teams, both in Colorado  
19 and at APHL, who have worked so hard in -- on our  
20 NewSTEPS efforts. And, finally, I'd like to  
21 thank our funders at HRSA.

22 Thank you very much, and I'd be happy to

OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 take any questions.

2 DR. JOSEPH BOCCHINI: Marci, thank you  
3 for this presentation. I think the story behind  
4 the story is, really, pretty dramatic and really  
5 highlights the amount of work that's necessary to  
6 make the changes that are necessary to make the  
7 system work but also shows us the final outcome,  
8 which is what we're all -- what we're all focused  
9 on, which is to improve the outcome for the  
10 newborn baby. So, thank you. Great.

11 Let's go ahead and open this for any  
12 questions or comments. I think we can spend a  
13 few minutes before we go to lunch if anybody has  
14 questions or comments for Marci or on what they  
15 just saw.

16 DR. MARCI SONTAG: Dr. Bocchini, while  
17 we're waiting for questions, I wanted to also let  
18 everyone know that these last two videos have  
19 been viewed, kind of, in a similar way to what we  
20 have -- we did with our NewSTEPS 360 meeting.  
21 And we've had states who have viewed this in  
22 their newborn screening advisory committee

1 meetings to thank them for the work that they're  
2 doing, at other stakeholder meetings to really  
3 get that word out that it does take a village,  
4 and every piece of that village is important.  
5 So, it's a -- it's a way for all of you, as well,  
6 to be able to use that and say thank you to your  
7 stakeholders.

8 DR. JOSEPH BOCCHINI: Thank you. Any  
9 additional comments? Committee members or org  
10 reps --

11 (No audible response)

12 DR. JOSEPH BOCCHINI: Okay. Well, Marci,  
13 again, thank you for --

14 DR. BETH TARINI: I'm sorry.

15 DR. JOSEPH BOCCHINI: Oh, wait --

16 DR. BETH TARINI: Beth --

17 DR. JOSEPH BOCCHINI: -- we've got --

18 DR. BETH TARINI: Beth Tarini.

19 DR. JOSEPH BOCCHINI: Dr. Tarini,  
20 remember to announce yourself. Beth?

21 DR. BETH TARINI: Sorry, I don't want to  
22 stand between -- oh, hold on -- between us and

1 lunch. I just had a quick question for Marci.  
2 Marci, is -- is one of your goals that this video  
3 could then be disseminated through the programs  
4 to, like, hospitals, couriers, things like that,  
5 and is that goal -- or -- and if that is so, it  
6 could be measured? Because we often get asked,  
7 in the E&T group, about measuring education, and,  
8 in fact, I was wondering if you might have any  
9 tips on that.

10 DR. MARCI SONTAG: So, we are -- our goal  
11 itself was not to do that, but the impact of this  
12 video was, really, for the purposes of the  
13 meeting and to demonstrate how to develop it, to  
14 -- to develop a video using, you know, equipment  
15 you have on hand. However, we are now measuring  
16 that impact of -- well, we're measuring the  
17 number of hits, at least, that we're seeing of  
18 this video. It's -- the link that you have is a  
19 YouTube link, so we can see how many hits the  
20 video has had, but we are not specifically  
21 measuring it right now as its impact in hospitals  
22 and birthing facilities, but I think that's a

1 good idea of something that's a way we could be  
2 using this at the local level.

3 DR. BETH TARINI: Sorry, I forgot to  
4 introduce myself: Beth Tarini. But a follow-up  
5 question, then, Marci, is, so is the video  
6 process what you're helping -- is the video, I  
7 guess, a consequence of trying to help the  
8 programs develop their own videos, and this is  
9 just -- and this is the product, and that process  
10 is what was discussed at the in-person meeting?  
11 And that's one of the main goals?

12 DR. MARCI SONTAG: So, it was -- it was  
13 actually a two-fold process or a two-fold goal,  
14 here. So, the first was how to develop a video,  
15 both through the technological means and also how  
16 to interview people to get those stories out.  
17 But we also had a couple of questions on  
18 storytelling itself and how important it is to  
19 get that message out. And once we can get that  
20 message out to the appropriate stakeholders, it  
21 helps them with buy-in.

22 So, the consequence of the video itself -



1 - We hadn't actually anticipated this video  
2 being used as widely as it, perhaps, is being  
3 used, which, I think, is fantastic. So, we know  
4 how powerful those family stories are, and if  
5 you're the person who's delivering the sample on  
6 the UPS truck from one place to another, and you  
7 know that that sample is related to a baby, and  
8 we can share these stories with the -- that UPS  
9 courier driver, that can make a difference. And  
10 we've heard stories from states where, when they  
11 can engage with those -- those people who have  
12 the boots on the ground, it can make a  
13 difference.

14           So, I think, what I'm hearing from you,  
15 Beth --

16           DR. BETH TARINI: Yeah, so that means --  
17 I think that, then, your point is made that --  
18 that -- that -- that they are, then, sharing it  
19 with these -- that some of the states are sharing  
20 it widely.

21           DR. MARCI SONTAG: They absolutely are.

22           DR. BETH TARINI: It seems.

1 DR. MARCI SONTAG: It -- it seems, and  
2 now the question is, is there a way that we could  
3 be measuring that, and I thank you for playing  
4 that out -- because that wasn't one of our  
5 initial goals, and yet, maybe there are ways that  
6 we could be capturing that beyond just the number  
7 of YouTube hits but to be testing what's  
8 happening at the state level with this video.

9 DR. JOSEPH BOCCHINI: All right, thank  
10 you. I see no other questions or comments, so  
11 once again, Marci, thank you very much for  
12 bringing us up to date on the accomplishments of  
13 your group, and I think that'll conclude the  
14 morning session. We're going to reconvene at  
15 exactly the top of the hour, so that's 1:00 my  
16 time, so that gives us approximately 45 minutes  
17 for lunch. So, enjoy your lunch, and we'll see  
18 you back at the top of the hour. Thank you very  
19 much.

20 (Whereupon, the above-entitled matter  
21 went off the record and then came back on.)

22 DR. CATHARINE RILEY: Operator, are we

1 all set?

2 OPERATOR: You are, yes. Please, go  
3 ahead.

4 DR. CATHARINE RILEY: Okay, great, thank  
5 you. All right, then, I'm going to turn it over  
6 to Dr. Bocchini.

7 DR. JOSEPH BOCCHINI: All right, good  
8 afternoon, everyone. Welcome back for the second  
9 session of today's meeting. We're going to start  
10 with a -- a roll call.

11 So, Kamila Mistry?

12 (No audible response)

13 DR. JOSEPH BOCCHINI: Mei Baker?

14 DR. MEI BAKER: Here.

15 DR. JOSEPH BOCCHINI: Susan Berry?

16 DR. SUSAN A. BERRY: Here.

17 DR. JOSEPH BOCCHINI: So present.

18 Jeff Brosco?

19 DR. JEFFREY P. BROSCO: I'm here.

20 DR. JOSEPH BOCCHINI: And I think we have  
21 Carla Cuthbert this afternoon.

22 DR. CARLA CUTHBERT: I'm here.

1 DR. JOSEPH BOCCHINI: Kellie Kelm?  
2 DR. KELLIE B. KELM: Here.  
3 DR. JOSEPH BOCCHINI: Joan Scott?  
4 MS. JOAN SCOTT: Here.  
5 DR. JOSEPH BOCCHINI: Cindy Powell?  
6 DR. CYNTHIA M. POWELL: Here.  
7 DR. JOSEPH BOCCHINI: Melissa Parisi?  
8 DR. MELISSA PARISI: Here.  
9 DR. JOSEPH BOCCHINI: Annamarie Saarinen?  
10 MS. ANNAMARIE SAARINEN: Here.  
11 DR. JOSEPH BOCCHINI: Scott Shone?  
12 DR. SCOTT M. SHONE: Here.  
13 DR. JOSEPH BOCCHINI: Beth Tarini?  
14 DR. BETH TARINI: Here.  
15 DR. JOSEPH BOCCHINI: And Catharine  
16 Riley?  
17 DR. CATHARINE RILEY: Here.  
18 DR. JOSEPH BOCCHINI: For the org reps,  
19 Bob Ostrander?  
20 DR. ROBERT OSTRANDER: Here.  
21 DR. JOSEPH BOCCHINI: Debbie Freedenberg?  
22 DR. DEBRA FREEDENBERG: Here.

1 DR. JOSEPH BOCCHINI: Michael Watson?

2 DR. MICHAEL S. WATSON: I'm here.

3 DR. JOSEPH BOCCHINI: Britton Rink?

4 (No audible response)

5 DR. JOSEPH BOCCHINI: Jed Miller?

6 DR. JED MILLER: Here.

7 DR. JOSEPH BOCCHINI: Susan Tanksley?

8 DR. SUSAN M. TANKSLEY: I'm here.

9 DR. JOSEPH BOCCHINI: Chris Kus?

10 DR. CHRIS KUS: Here.

11 DR. JOSEPH BOCCHINI: Natasha Bonhomme?

12 (No audible response)

13 DR. JOSEPH BOCCHINI: Siobhan Dolan?

14 DR. SIOBHAN DOLAN: Here.

15 DR. JOSEPH BOCCHINI: Cate Walsh Vockley?

16 MS. CATE WALSH VOCKLEY: Here.

17 DR. JOSEPH BOCCHINI: Shawn McCandless?

18 DR. SHAWN MCCANDLESS: Here.

19 DR. JOSEPH BOCCHINI: Great, thank you,  
20 all, very much.

21 So, for this afternoon session, first,  
22 public comments. As you heard earlier, we have

1 not received any requests for public comments for  
2 this meeting. However, I just wanted to  
3 acknowledge, at this time, that the Committee did  
4 receive a petition on June 24th, signed by over  
5 2,000 individuals, supporting the addition of SMA  
6 to the RUSP.

7           So, now we'll turn to our workgroup  
8 updates. And so, we will begin with the  
9 Education and Training Workgroup, and we have  
10 Beth Tarini, Chair of this Education & Training  
11 Workgroup, who will present this, and for those  
12 of you who are not aware, Cindy Powell has agreed  
13 to serve as Co-Chair of this committee, as well.

14           So, Beth?

15           DR. BETH TARINI: Thank you, Dr.  
16 Bocchini. So, my name is Beth Tarini for the --  
17 the notetaker, and can you check the next slide?

18           I want to acknowledge all of our members,  
19 and you see them listed here. Thank you to all  
20 of them for their contributions and  
21 participation.

22           Next slide. And to echo Dr. Bocchini, I

1 want to welcome Dr. Powell, who is our new Co-  
2 Chair, and thank -- and give a thank you to Cathy  
3 Wicklund, our former Co-Chair, for the work she  
4 has done for us.

5           Next slide. So, I'm going to go over the  
6 two current projects and then the brainstorming  
7 we did in our meeting, which was last week. So,  
8 the communication guide -- this is the guide that  
9 was mentioned earlier. We're in the final  
10 stages. We discussed getting feedback from  
11 pediatric residents and genetic residents --  
12 genetics residents on the guide. We are working  
13 to link it to the ACT sheet, and we discussed  
14 identifying the most effective ways to get it to  
15 the states, especially the end user. And so, we  
16 talked about listservs utilized by members of  
17 state programs, APHL, options through the media,  
18 and tried to decide and identify other ways.

19           Next slide. The education guide -- this  
20 is the guide that, to briefly review, points out  
21 elements of education for newborn screening, or  
22 content domains, if you will, and how they might

1 map to areas of interest for various  
2 stakeholders. So, it is also in its final stages  
3 and will be posted on the Committee's website.  
4 We are trying to identify a way to validate and  
5 evaluate the guide, as well as have a way to  
6 track or monitor usability, talking about, do we  
7 talk about the number of visits to the page,  
8 downloads, and also find a way to collect the  
9 feedback, either via SurveyMonkey or at the time  
10 of the use or, perhaps, asking them to download  
11 and then following up a week later. So, we will  
12 -- we are working to identify which is the most  
13 effective way to solicit the feedback from users.

14 We also talked about --a -- a good point  
15 was made about finetuning the introduction to  
16 target the end user, which would include -- which  
17 would involve including more background and  
18 direction about the -- the utility of the guide.  
19 And so, we're in the -- in the process of  
20 drafting that revised introduction.

21 Next slide. And so, we talked about two  
22 issues that came up in the Co-Chair's meeting



1 that we touched on earlier, and those are the  
2 issues of -- well, this is -- the project label  
3 is -- is nebulous. Provider education was under  
4 the rubric of provider education and talked about  
5 issues with communicating the limitations of  
6 newborn screening was the problem we were seeking  
7 to address, and the "who" we talked about were  
8 the providers, generalists and specialists,  
9 particularly educating them so that they could  
10 better inform the families. And we talked about  
11 one target, how it would be, perhaps, the North  
12 American Metabolic Academy for the specialists.

13 Now, let me just pause to say, this is  
14 not a proposal of a project. The goal -- and I  
15 should have started with this in discussing this  
16 with you -- is to come to the Committee to  
17 solicit thoughts and feedback on what might be,  
18 from the Committee's perspective, most useful.  
19 We did some bit of brainstorming and then are  
20 bringing that small bit to the Committee. And we  
21 realize that we have only a small window of time  
22 to discuss it today.

1           Next slide. And public education -- this  
2 was also touched on earlier -- explaining that a  
3 negative screening does not mean you do not have  
4 the disease and that that problem targeting "who"  
5 -- public, prenatal, postnatal, child, adult,  
6 depending on what is our actual intended behavior  
7 change or outcome -- and the challenges we  
8 discussed were that newborn screening is complex  
9 and nuanced. For instance, we don't want, in  
10 citing limitations, to detract from the value of  
11 newborn screening. However, we also discussed  
12 how this wheel has already been invented. This  
13 is done routinely with mammograms. And, of  
14 course, there are some hazards, but we could  
15 learn from other communities on this. And the  
16 target, "how," was, could we get focus groups of  
17 parents involved in this issue is one potential  
18 idea.

19           But I will say that after -- of course,  
20 these slides were made before today -- after  
21 discussion today, I will put in my plug, which  
22 does not represent, necessarily, that of the

1 Committee, which is, I think the first step is --  
2 in any of this is to, perhaps, identify what we  
3 are currently doing and what is currently out  
4 there and start with a landscape assessment and  
5 then -- and then, potentially, move forward with  
6 ideas. Because, for instance, Dr. Berry's MOC  
7 is, I think, an incredibly valuable addition to  
8 the educational landscape.

9           And with that, next question -- next  
10 slide, I mean. I will open it up for questions  
11 and/or comments.

12           DR. JOSEPH BOCCHINI: Thank you, Beth.  
13 It's a good summary of -- of activities going on  
14 within the -- in the Education and Training  
15 Workgroup and, certainly, shows the beginning of  
16 the discussion of -- towards the education,  
17 perhaps, of providers, as well as the public,  
18 related to the issues in -- in lab testing  
19 results and screening.

20           So, let's go ahead, then. We'll start  
21 with Committee members who have questions or  
22 comments. It's Sue Berry and Cindy Powell.

1           So, Sue first.

2           DR. SUSAN A. BERRY: This is Sue Berry.  
3 I just want to clarify: It's not my -- my  
4 personal MOC4; it's part of the Midwest Genetics  
5 Network's, but I appreciate the -- the  
6 endorsement, and we will certainly keep the  
7 Committee apprised of that.

8           I really wanted to mention one potential  
9 forum for sharing a document like the one you've  
10 described, and that's to reach out to the AAP  
11 News, which is -- it bills itself as the official  
12 news magazine of the American Academy of  
13 Pediatrics, and it produces short articles of  
14 general interest to pediatricians. While I know  
15 that -- that -- that the target's pediatricians,  
16 it still could be a -- a resource. And so, it's,  
17 perhaps, worth considering reaching out to them  
18 for a document as valuable as -- as the  
19 communication guide.

20           DR. JOSEPH BOCCHINI: That's a great  
21 suggestion, and I think it is one way to get to a  
22 large number of -- of primary care pediatricians

1 and subspecialists.

2 Cindy Powell next.

3 DR. CYNTHIA M. POWELL: This is Cindy  
4 Powell. Thank you. And I certainly appreciated  
5 the discussion this morning about the -- you  
6 know, educating providers, as well as the public,  
7 and echo the summary from Beth that, you know, we  
8 -- we really need to look at what's already out  
9 there before we can really, you know, think about  
10 moving forward with any of this.

11 I think one thing to keep in mind, too,  
12 in the -- the groups that were discussed this  
13 morning is also that, you know, the -- those, in  
14 addition to family practitioners, who care for  
15 adult patients -- It's also going to be  
16 important to educate the internal medicine folks,  
17 because, you know, with some of these new  
18 conditions, most cases that are being identified  
19 in children, you know, will not have onset until  
20 adulthood, if, even, then, but, you know. So, I  
21 think that's an important group that we need --  
22 that we should not forget about.

1           And then, also, for -- I think it's  
2 great, you know, what Sue Berry brought up about  
3 the MOC and also thinking about, you know, the --  
4 the questions that are on the medical student  
5 national board exams and whether, you know, that  
6 they cover or include things about newborn  
7 screening could be one way to make some inroads.  
8 They often reflect some of the goals and  
9 objectives for various residency programs. And  
10 so, you know, you could work with the -- the  
11 boards, as well as representatives from the  
12 residency training programs, about how to make  
13 sure this, you know, gets into the curriculum,  
14 which is already so crowded with other things  
15 that students and residents need to know about.  
16 Thanks.

17           DR. JOSEPH BOCCHINI: Thank you. Next,  
18 Mei Baker.

19           DR. MEI BAKER: Okay, yeah. This is Mei  
20 Baker, and a couple comments. I just want to,  
21 kind of, more emphasize what I feel important is  
22 the education. Beside education, also with a

1 mind to provide tools to primary care physician.  
2 Also, I do believe all the education material  
3 have some kind of connection link to continued  
4 education credit will be -- will be part of a  
5 motivation get primary care physician willing to  
6 do that.

7 Another thing that we talk about, ACT  
8 sheet and this in-time information -- some point,  
9 I think we may think about the combination and so  
10 you don't give so many different set material to  
11 primary care physician at the time but somehow  
12 can do some combination material. So, maybe is  
13 another avenue to do so.

14 DR. JOSEPH BOCCHINI: Thank you.

15 Next, Shawn McCandless.

16 DR. SHAWN MCCANDLESS: Hi, this is Shawn  
17 McCandless representing the Society for Inherited  
18 Metabolic Disorders. Beth, I appreciate the --  
19 the mention of the North American Metabolic  
20 Academy, which is a -- a function of the SIMD.  
21 That -- the -- the limitations there are that it  
22 reaches a very small number of -- they are key

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 people, because they're trainees in the field of  
2 medical genetics, but it reaches a very small  
3 number of people once a year.

4           Also, the curriculum is highly structured  
5 and professionally developed and not so easy to  
6 change and add to. So, I -- I'm trying to pull  
7 up the newborn screening curriculum now and  
8 having some problems with the website, but I -- I  
9 -- I -- I feel like that's maybe not going to be  
10 a very effective mechanism for getting the  
11 message out about the -- particularly about the  
12 importance of -- of screening as a screening test  
13 and not a diagnostic test.

14           The -- the -- I do think, also, that that  
15 population, the -- particularly the people with  
16 metabolic training in particular but also  
17 geneticists in general, are probably fairly savvy  
18 to the idea already that screening tests are not  
19 definitive and that they -- when they're asked to  
20 see a patient, a normal newborn screening test  
21 doesn't rule out the possibility of one of these  
22 inborn errors of metabolism. That's all I have

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376



1 to say.

2 DR. JOSEPH BOCCHINI: Next, I have Sue  
3 Berry.

4 DR. SUSAN A. BERRY: Yeah, so just wanted  
5 to make sure that everyone knows this is not --  
6 we'll be happy to provide information about the -  
7 - the details in the MOC4 so that we can make  
8 sure that all of the efforts that we do on a  
9 regional basis through our HRSA group are also  
10 coordinated carefully.

11 We had planned to incorporate discussion  
12 of the communication guide in the MOC4 about --  
13 the -- the module about giving back positive  
14 results, because that's one of the intents for  
15 that, but we're hoping to be able to, kind of,  
16 build on the work that the Committee's already  
17 done on the document.

18 And the other thing I'm just going to  
19 throw out there to give a little bit of a shout  
20 out to my own Department of Health, which is that  
21 Amy Gaviglio and a medical student that I was  
22 working with assisted in developing a -- a guide

1 for our local pediatric practices to give when  
2 they are conveying negative newborn screening  
3 results. It's -- you know, it's -- we did some  
4 vetting with families, and we did our own  
5 internal vetting, but it -- it could certainly  
6 use some additional work. But it might be a  
7 place to start from that I think people would --  
8 our -- our goal was to have a simple sheet that  
9 is equivalent to the one that's given out after  
10 hearing screenings, when you -- when you don't  
11 get referred or when you have a heart screening  
12 and your heart test is normal. It's the same  
13 thing. And so, it's kind of a nice little one-  
14 page document, and if people are interested in  
15 that, we can certainly make that available.

16 DR. JOSEPH BOCCHINI: Thank you. I -- I  
17 think that we've had some discussion and  
18 presentation of that in the Education and  
19 Training Workgroup. So, I think that would be  
20 good to continue to share about.

21 DR. SUSAN A. BERRY: Great, thank you.

22 FEMALE SPEAKER: Debbie Freedenberg.

1 DR. JOSEPH BOCCHINI: Oh, next, we have  
2 Debbie Freedenberg.

3 DR. DEBRA FREEDENBERG: So, I really --  
4 This is Debbie Freedenberg. So, I really  
5 appreciate the discussion this morning related to  
6 education, as well as Beth's comments from the  
7 Committee -- the Workgroup, excuse me.

8 So, I think one of the things that we  
9 need to consider as a group is that the way -- if  
10 we're aiming for professionals and for  
11 pediatricians and family practice, the  
12 methodology of how they're learning in education  
13 is changing, and like a vast majority of folks  
14 will go to an instantaneous, just-in-time pop-up  
15 app, or the EMRs automatically link them to a  
16 pop-up with information about whatever it is.  
17 And so, I think we need to start thinking, also,  
18 into the future because we -- you know, as a  
19 state, we do the brochures, we do the webinars,  
20 we do the talks, we do all of that -- you know,  
21 active, facted information, and we pair with our  
22 pediatric society and try and pair with our

1 OB/GYN, which we're not so successful with --  
2 society, which we're not so successful with, but  
3 I think we need to start thinking about the way  
4 information is now being processed and learned.

5           And the families, most of them, are  
6 younger families, so we're having kids who are  
7 also in that same learning paradigm, where, you  
8 know, they're much less likely to pick up some  
9 printed material than being able to click a  
10 button on their iPhone or their Samsung whatever,  
11 on their cellular phone or their iPad or wherever  
12 they are, you know, their laptops.

13           So, I think we need to recognize that the  
14 educational paradigm has been shifting a bit more  
15 towards electronic. And, you know, we've often  
16 spoken about, could we just develop an app and  
17 put it on there and have everybody pull up what  
18 they need. But there are significant barriers to  
19 that for us, as well, but I kind of would like --  
20 just wanted to point out that there are newer  
21 methodologies that people are using to get  
22 information.

1 DR. JOSEPH BOCCHINI: Thank you. Any  
2 additional questions, comments?

3 (No audible response)

4 DR. JOSEPH BOCCHINI: If not, I think  
5 it's clear that the Education and Training  
6 Workgroup's going in the right direction and that  
7 we look forward to additional discussions. And  
8 did we give you enough to have additional  
9 discussions, Beth?

10 DR. BETH TARINI: Yes, I think that the  
11 one piece to tie up is -- is, if this is going to  
12 be an interdisciplinary work project, whether or  
13 not that is the intention, and then what would be  
14 the infrastructure or formal, sort of, sub-  
15 workgroup collaboration for that or the idea or  
16 intent that we as the Education Workgroup take  
17 this suggestion from labs and -- and run with it,  
18 if you will.

19 DR. JOSEPH BOCCHINI: I would -- I would  
20 have you take the lead and run with it but then  
21 use the expertise of the laboratorians for  
22 specific information related to test

1 interpretation, et cetera, and -- so that working  
2 together, we can come up with clear guidance and  
3 definitions and -- and interpretation.

4 DR. BETH TARINI: Okay. Yeah.

5 DR. JOSEPH BOCCHINI: All right. Thank  
6 you very much.

7 Next on the agenda is the report from the  
8 Laboratory Standards and Procedures Workgroup.  
9 Kellie Kelm has already discussed, in detail, a  
10 significant amount of the work that this  
11 workgroup is doing. So, we'll see if Kellie  
12 and/or Susan have any additional information to  
13 bring forward in their report.

14 Kellie?

15 DR. KELLIE B. KELM: Yes. So, as we  
16 said, most of what we discussed in our -- in our  
17 workgroup meeting I captured in our earlier  
18 presentation. And one thing that was touched on  
19 briefly, also, in our discussion, that we were --  
20 we -- we also discussed was the recent  
21 publication, I believe in MMWR, on one screen  
22 versus two screens, looking at the effectiveness

1 of screening for congenital hypothyroidism, and  
2 that's something that we have discussed before  
3 and I think might be a -- a future topic that may  
4 come around again.

5 I didn't have anything else. I don't  
6 know if Susan's available, if there's anything  
7 else that she wanted to add that -- that we  
8 discussed.

9 DR. SUSAN M. TANKSLEY: This is -- this  
10 is Susan Tanksley. I don't have anything to add.  
11 Thanks, Kellie.

12 DR. JOSEPH BOCCHINI: All right. I want  
13 to thank --

14 DR. KELLIE B. KELM: All right.

15 DR. JOSEPH BOCCHINI: -- the -- I want  
16 to thank this workgroup for the -- for the  
17 efforts that they have made related to risk  
18 assessment and -- and then the recommendations  
19 that came forward for us to evaluate today.

20 Are -- does anyone have any additional  
21 comments for the Education and -- I'm sorry, the  
22 -- the Laboratory Standards and Procedures

1 Workgroup before we move on?

2 Dr. Tarini?

3 DR. BETH TARINI: Hi, Beth Tarini. I  
4 have a -- a -- a question or issue to raise, and  
5 that is an issue that's come up in some of the  
6 newborn screening circles about congenital  
7 hypothyroidism and the potentially increase in  
8 the diagnosis of congenital hypothyroidism, as  
9 well as --

10 So, this is a little bit -- So there's  
11 the screening issues, which are the age-  
12 appropriate cut -- age-adjusted cut-offs issue,  
13 as well as the diagnostic line for -- for  
14 congenital hypothyroidism in the short-term  
15 follow-up, and -- and then the overall notice in  
16 some states of an increasing rate of congenital  
17 hypothyroidism diagnoses. And I'm wondering if  
18 this might be an opportunity for cross-  
19 collaboration of all three workgroups on this  
20 issue and wondering if anyone else has -- has  
21 thoughts about that, particularly the lab group.

22 DR. JOSEPH BOCCHINI: We certainly can



1 open that up for discussion.

2 FEMALE SPEAKER: Mei Baker.

3 DR. JOSEPH BOCCHINI: All right, Mei  
4 Baker first.

5 DR. MEI BAKER: Yes, hi. I just want to  
6 -- Oh, this is Mei Baker, and to follow Beth's  
7 comments, I feel that maybe need a  
8 multidisciplinary, because that -- at newborn  
9 screening timepoint, the elevation, and I think,  
10 beside of what you said, also the transient, and  
11 that's what we need to assess, right? I feel  
12 like maybe -- I don't know if we can call this  
13 long-term follow-up or not, because I feel this  
14 cohort need to be assessed at least for three  
15 years, and so we kind of have a sense in terms  
16 they're transient or, you know, true cases. So,  
17 then, we can better assess the incident rate.

18 So, almost I -- what I'm suggest that is  
19 maybe Laboratory Workgroup and short-term follow-  
20 up or even long-term follow-up kind of come to  
21 some kind of procedure and recommendations and  
22 see each state interesting follow this. Then, we

1 can collect data at certain time points.

2 DR. JOSEPH BOCCHINI: So, Beth Tarini?

3 DR. BETH TARINI: Hi, this is Beth  
4 Tarini. Mei, you bring up an excellent point.  
5 And, in fact, I think that the point -- the issue  
6 of the three-year follow-up to see, does the  
7 child actually have the diagnosis we thought they  
8 had, or was it transient or was it -- is it --  
9 was it transient or was it permanent, actually, I  
10 think, is an example of where Long-Term Follow-Up  
11 has -- and I don't want to speak for that group,  
12 but Long-Term Follow-Up has a real impact on  
13 screening. We often talk about, what's the role  
14 of Long-Term Follow-Up. Here's an example where  
15 Long-Term Follow-Up actually is -- has a critical  
16 role in determining how we diagnose -- how we  
17 create and diagnose off of these tests in -- for  
18 congenital hypothyroidism.

19 DR. JOSEPH BOCCHINI: Other comments or  
20 questions?

21 (No audible response)

22 DR. JOSEPH BOCCHINI: So, I think there's

1 enough new information that this should be a  
2 topic for us to pursue, and perhaps we could put  
3 together some presentations for the next meeting  
4 to, kind of, charge the -- the three different  
5 workgroups, following that -- those  
6 presentations, to consider aspects of this that  
7 might be things to evaluate or consider to bring  
8 back to the full Committee. So, we'll see how  
9 quickly we can organize having some presentation  
10 and discussion on this topic, so. Thanks.

11 Okay, are there are any other issues  
12 related to Lab Standards and Procedures?

13 (No audible response)

14 DR. JOSEPH BOCCHINI: No. Thank you.  
15 Okay, the next is the Follow-up and Treatment  
16 update -- Workgroup update, and Chris Kus is  
17 going to lead this presentation.

18 So, Chris?

19 DR. CHRIS KUS: Thanks, yeah. It's Chris  
20 Kus from the New York State Department of Health.  
21 I'm actually in the Division of Family Health --  
22 it's missed on that slide -- and I'm pinch

1 hitting for Jeff.

2           Next slide. Just shows the Workgroup --  
3 current Workgroup members

4           Next slide. So, this has been a busy  
5 workgroup and report on some of our activities.  
6 The first one, the medical foods, which completed  
7 the report, which was sent to the HHS Secretary  
8 as informational, it's going to be posted on the  
9 Committee's website and submitted for publication  
10 in a peer-reviewed journal is planned.

11           Second thing, the work we've done in  
12 terms of quality measures, the quality measure  
13 report, which is completed, and it's, again,  
14 going to be posted on the Committee's website.  
15 It'll take a little time -- I think in about two  
16 weeks, it should be on the website. The plan is  
17 to identify journals to publish the executive  
18 summary in, not peer-reviewed journals, to  
19 consider topic-specific articles that build on  
20 the report, consider what aspects of the report  
21 tie into the roadmap project, and we'll get into  
22 more of that discussion.

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1           I think Bob Ostrander highlighted that  
2 there's -- we've had a -- a lot of discussion  
3 about a roadmap for long-term follow-up, and  
4 there are a variety of audiences that may be  
5 interested in the report. So, we're asking the  
6 Committee, is there ways that we should do  
7 outreach to make sure that it gets to the people  
8 that need to see it.

9           Number three is a long-term follow-up  
10 roadmap. We're in the development phase, and  
11 we're going to consider next steps based on the  
12 Kemper and Lam's Environmental Scan and think  
13 about what different components need to be.

14           Having said that, next slide. A big part  
15 of our discussion at our last meeting was about a  
16 long-term follow-up roadmap, ideas for moving to  
17 an informatics paradigm. At our meeting, Joe  
18 Schneider and Bob Ostrander presented some ideas  
19 for improving care by creating an integrated  
20 system for quality measures, and Joe put out a  
21 word that I had to look up, that -- calling it a  
22 core desideratum, and -- which essentially means

1 something that is needed or wanted. And the  
2 thoughts we have are, thinking about single  
3 registry and QI programs per disease or disease  
4 group, considering data consistency as we look at  
5 follow-up for different conditions, the idea of a  
6 hub-and-spoke data collection system -- my phone  
7 answered one of my questions, I guess -- a -- a  
8 patient identification and continuity, and  
9 coordinated research/QI funding.

10           Next slide. So, I'm going to throw out  
11 some questions for the group, and I'll just read  
12 through the questions, and then we can have a  
13 discussion. How can data registries inform  
14 quality measures that can help us improve  
15 informed treatment and follow-up? Is there an  
16 interest in creating an integrated system for  
17 quality measures? Do we need a core set of data  
18 elements that are both disease specific and  
19 applicable across diseases? And how can we  
20 ensure newborn screening conditions have a  
21 follow-up plan?

22           We had some discussion about the idea, as

1 people submit new conditions to be on the RUSP,  
2 should they have to submit some type of follow-up  
3 plan relative to the condition. And the other  
4 thing I'll add is, I started to think about this,  
5 because we are talking about developing some type  
6 of system that reports on newborn screening  
7 nationally, and the question is, who's the  
8 responsible party for doing that kind of report,  
9 and what kind of report might that look like.

10 So, I'll stop here and say, Bob and Joe,  
11 answer some of the questions as they come up, and  
12 I'll try to, too. Thanks.

13 DR. JOSEPH BOCCHINI: Thank you, Chris.  
14 Bob Ostrander already indicated he would like to  
15 make some comments.

16 Bob?

17 DR. ROBERT OSTRANDER: Hi, thanks. I  
18 don't know if Joe is here, because Joe really is  
19 the lead on this. I just threw some ideas in.  
20 But I want to flesh out some of our thoughts  
21 about the roadmap, because I think we need to get  
22 the Committee guiding us in -- in thinking about

1 some of the concepts.

2 I'm going to start with the most virtual  
3 one rather than the most important one, and that  
4 is our notion that a follow-up and treatment plan  
5 is an integral part of a condition be on the  
6 Recommended Screening Panel, because if we put  
7 conditions on the RUSP, without a system either  
8 in place or the architecture for -- the  
9 architectural drawing for it laid out, we are  
10 really not meeting all of our obligations as a  
11 committee, 1), and 2) it puts us behind the 8  
12 ball for reporting back to the Secretary when an  
13 approval letter comes back, as it did this time,  
14 and I think quite appropriately, wanting follow-  
15 up information in 2 years.

16 And if we don't have a blueprint on paper  
17 when we approve it, we're -- we're not very  
18 likely to even be able to build a follow-up --  
19 assessment plan in the two years, much less  
20 gather any data. So, I think -- we think that  
21 it's important, some of us, but we also worry  
22 that it's going to be perceived as a barrier to

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376



1 conditions being placed on the RUSP.

2           And the other thing that we talked about,  
3 and this comes up over and over and more and more  
4 at our committee meetings, is that we need to  
5 think about what to do about conditions that are  
6 already on the RUSP. And we've talked about  
7 looking at ones that might need to come off the  
8 RUSP, but I think another part of what we're  
9 (audio cuts out) is, you know, assigning -- you  
10 know, setting a time target to have one of the  
11 standardized approaches to follow-up data  
12 collection in place for conditions that are  
13 already -- already on the RUSP within a matter  
14 of, you know, two, three, five years. We can  
15 kick some numbers around. So, that's one piece.

16           And then, the other piece I just want to  
17 flesh out the vision a little more for folks to  
18 comment on, and we talked about this a little at  
19 the last meeting when I brought it up, and that  
20 is, you know, working toward a notion where the  
21 centers have a responsibility, as owners of  
22 registries, for their various diseases.

1           And then the third component is the  
2 notion that we have some standardized measures  
3 that will cut across all heritable diseases or  
4 even (audio cuts out) things sort of like the  
5 medical home issues, and then, you know, an up-  
6 front notion about information for each disease  
7 or group of diseases that would be standardized  
8 across centers. And if we could do this and make  
9 this our system, we wouldn't have the polyglot we  
10 have now of some of the registries being kept by  
11 disease groups, some of them just individual  
12 states, and so on.

13           So, that -- those were our initial vision  
14 things. As I said, the -- the Subcommittee's  
15 going to flesh some of this out, but I think it  
16 would be helpful up front to hear from the  
17 Committee if they think some of these ideas are  
18 okay and, specifically, the notion of asking  
19 candidate conditions to have some kind of  
20 architectural drawing in place for how they  
21 envision long-term follow-up over the next two to  
22 five years.

1 DR. JOSEPH BOCCHINI: Joe, I think this  
2 is a timely question in the sense that our plan  
3 is to, kind of, not only review the entire  
4 evidence review but also to consider what needs  
5 to be in it for us to then make a decision about  
6 a condition going onto the RUSP. So, I think  
7 it's timely to include this consideration.

8 So, I have two Committee members: Sue  
9 Berry, Jeff Brosco.

10 Sue first.

11 DR. SUSAN A. BERRY: Thank you. This is  
12 Sue Berry. I love the attention to having us  
13 consider the whole system, not just the test,  
14 which includes the -- the long-term follow-up.

15 I will point out work that's been done by  
16 the Newborn Screening Transitional Research  
17 Network to create the Longitudinal Pediatric Data  
18 Resource, which, as a element in its development,  
19 created a set of common data elements that were,  
20 hopefully, to be used across diseases. While  
21 that was developed in a research setting, they  
22 were developed by clinicians for assessment of

1 patients with these conditions because the only  
2 way to get some of this information has been in a  
3 research environment. And so, a lot of work was  
4 also done in working with public health to take  
5 some of those common data elements and select  
6 appropriate ones that might be suitable for use  
7 in public health. And I'm -- I'm hoping some of  
8 what Alex will end up talking about will reflect  
9 some of -- some of that work.

10           The one thing I would point out, after  
11 running our project to try and do some of this  
12 long-term follow-up data collection, is that this  
13 requires time, which I would say equals money.  
14 Centers will not be able to do the -- even the  
15 most cursory sustained follow-up without some  
16 kind of support. So, that'll have to be an  
17 element that's considered in anticipating a truly  
18 comprehensive follow-up system. But I think you  
19 all know of my incredible enthusiasm for any plan  
20 that includes improvements in our long-term  
21 follow-up so that we can realize the promise of  
22 newborn screening. So, I'm really excited that

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 this is a direction we're taking.

2 DR. JOSEPH BOCCHINI: Thank you.

3 Now, Jeff?

4 DR. JEFFREY P. BROSCO: Thanks, this is  
5 Jeff Brosco. First, I want to thank Chris for --  
6 for pinch-hitting for me today. I had a -- a  
7 conflicting meeting, which, of course, was  
8 canceled just in the last two days. So, I'm  
9 actually able to be here.

10 And then, it was great to hear Bob sort  
11 of lay out some of the really interesting ideas  
12 that he and Joe have -- have proposed, and just  
13 want to -- it would be great to hear some  
14 feedback now, but I just want everyone to  
15 recognize that these are, sort of, the first time  
16 our -- our workgroup is speaking about them, and  
17 a lot of what we're going to do is -- is actually  
18 based on what we're going to hear in a few  
19 minutes from Alex and K.K., about, sort of, where  
20 long-term follow-up is right now. Their  
21 environmental scan's going to be very helpful as  
22 we put together the so-called federated system.

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 We expect, over the next two months at our  
2 workgroup meetings, to take what Alex and K.K.  
3 have put together, imagine and start drawing out  
4 what this federated system would truly look like,  
5 and may or may not include some of the elements  
6 that -- that Bob just mentioned.

7           And, just, quickly, Sue, you weren't --  
8 weren't on the last call, but -- but Amy Brower  
9 was there, and I asked Amy to talk a little bit  
10 about the Longitudinal Pediatric dataset elements  
11 and so on. We're -- so, we're well aware of  
12 that. The Workgroup is including that, and the  
13 question is, how well do those translate into  
14 clinical situations, and how flexible are they,  
15 and all that sort of stuff, which will be one of  
16 the key topics in our upcoming workgroup calls.  
17 I think I'll stop there.

18           DR. JOSEPH BOCCHINI: Thank you. Next, I  
19 have Shawn McCandless.

20           DR. SHAWN MCCANDLESS: Hi, Shawn  
21 McCandless representing the SIMD. I -- I just --  
22 I -- I want to reemphasize what Sue said about

1 the -- the burden and cost to providers,  
2 particularly the members of the SIMD, who are --  
3 often, are the ones that are required to enter  
4 data into databases. We're -- I -- I am sure our  
5 membership will be enthusiastic about a process  
6 to standardize and collect meaningful data in a -  
7 - in a standardized way, especially if that  
8 reduces the number of different places that we're  
9 expected to enter data regarding newborn  
10 screening follow-up.

11 DR. JOSEPH BOCCHINI: Thank you. Next is  
12 Chris Kus.

13 DR. CHRIS KUS: Yes. I -- I guess, as I  
14 thought more about this, is, if we move toward  
15 this federated system, one of the questions that  
16 comes up, for me, is, who will provide the  
17 leadership for that federated system, and then  
18 comes up the -- the question is, where will the  
19 resources come from, thinking -- You know, we  
20 have state resources, federal resources, and if  
21 you're moving in this added resource needed,  
22 where -- where will that come from is a question.

1 DR. JOSEPH BOCCHINI: I have Scott Shone  
2 and Jeff Brosco.

3 Scott?

4 DR. SCOTT M. SHONE: Hi, this is Scott  
5 Shone. So, I -- I -- I would like to suggest  
6 that the -- the -- the -- the planning for long-  
7 term follow-up needs to come before a disorder is  
8 proposed for the RUSP but be part of these pilot  
9 studies that we should be doing and the data that  
10 should be gathering in support of the evidence  
11 review for a RUSP nomination. I mean, the -- the  
12 -- the current system provides no guidance or  
13 funding during pilot studies to help collect this  
14 data.

15 And so, while the pilot studies that have  
16 been done have been incredibly successful at  
17 demonstrating that screening can be performed,  
18 these children can be identified, and we can run  
19 confirmatory analyses to show that they either  
20 have mutations or other biochemical markers  
21 indicative of a disease, what is been clinically  
22 lacking is the -- the -- the conclusive



1 demonstration that early identification and  
2 treatment leads to long-term benefit. Now, the  
3 definition of "long-term," I know, depends on the  
4 eyes of the beholder, but I -- I think that we  
5 should be -- we should be shifting the inclusion  
6 of this long-term follow-up plan and data  
7 collection well before it even gets to the  
8 Committee but as part of recommendations to  
9 researchers that they be included as part of  
10 their analyses for new newborn screening  
11 disorders before they even make it to us.

12 DR. JOSEPH BOCCHINI: Jeff Brosco?

13 DR. JEFFREY P. BROSCO: Yes, Jeff Brosco.  
14 Thank you, Scott, that's very helpful, and --  
15 and, really, it helps our workgroup continue to  
16 move in that direction, because I think you're  
17 right. A lot of this needs to get done at the --  
18 at the pilot stage.

19 One quick comment, just to make sure  
20 everyone -- I'm -- I'm, sort of, channeling  
21 Nancy, who would typically be saying this at this  
22 point, that a lot of what you've heard may have

1 been about quality measures and data and quality  
2 improvement, and just to make sure everyone  
3 understands: This is all in the interest of  
4 treatment, because that's really what we -- we  
5 want to make sure happens in the long term, and  
6 this is just ways of making sure it happens.

7           And just a last thing for folks who may  
8 not have heard these terms before: When -- when  
9 we've said "federated system," what we mean is,  
10 we don't think it's possible, at least in the  
11 U.S., any time soon, to have a single dataset for  
12 every single condition that all states and all  
13 groups participate in. We just don't think  
14 that's realistic. So, a federated system means  
15 that, okay, in CF, it may be the CF Foundation  
16 that funds and provides the structure for long-  
17 term follow-up for cystic fibrosis, but for  
18 sickle cell anemia, it might be something else,  
19 and for SMA, it might be a different group. And  
20 it may be federal. It would be great if it were,  
21 you know, national, but we recognize that some  
22 things will be patient-registry oriented or maybe

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 more localized.

2           So, when we say a "federated system," we  
3 mean having as strong a system of long-term  
4 follow-up and treatment as possible, probably  
5 condition specific, and it's going to -- it's  
6 going to be varied and together in a federated  
7 way that makes up some kind of quilt that shows  
8 long-term follow-up and treatment's being done.  
9 So, just for folks who hadn't really thought  
10 about that recently, that's the way we're  
11 thinking about it now, and we hope to learn what  
12 the -- the strand of that quilt will be from Alex  
13 and K.K.

14           DR. JOSEPH BOCCHINI: That sounds good.  
15 Additional questions or comments?

16           (No audible response)

17           DR. JOSEPH BOCCHINI: All right. I want  
18 to thank everybody for the feedback. I think  
19 each of the workgroups has gotten feedback that's  
20 necessary for them to continue moving forward  
21 with their projects and tasks. So, thank you,  
22 all.

1           Next, we have two presentations by Alex  
2 Kemper. The first is on -- is a report on long-  
3 term follow-up in newborn screening -- so, this  
4 is a landscape environmental scan that Jeff was  
5 talking about -- and then we'll discuss that and  
6 -- and then we'll -- we'll have a second report  
7 on the -- the technology -- the -- the evolution  
8 of technology in -- in newborn screening.

9           So, Dr. Kemper is Division Chief of  
10 Ambulatory Pediatrics at Nationwide Children's  
11 Hospital, and he leads the External Evidence  
12 Review Workgroup for the Committee. His group  
13 has been working on two reports for us over the  
14 past year.

15           The first is this report on the status of  
16 long-term follow-up in newborn screening. It's a  
17 report designed to identify knowledge gaps and  
18 potential needs. The Committee will hear from  
19 Dr. Kemper for the first time today on this  
20 topic, although he and his team have been  
21 collaborating with the Follow-Up and Treatment  
22 Workgroup, as you heard, as their missions

1 overlap.

2           And then, the second report is one that  
3 he and his team have been working on that  
4 outlines the various advances in technology that  
5 have already had or will be expected to have an  
6 impact on newborn screening. He presented the  
7 preliminary findings of this report last year,  
8 and today we'll hear an update.

9           So, let's hear the first report. Turn it  
10 over to you, Alex, for the Long-Term Follow-Up --

11           DR. ALEX KEMPER: Fantastic, and can you  
12 hear me okay?

13           DR. JOSEPH BOCCHINI: We can hear you  
14 well.

15           DR. ALEX KEMPER: Fantastic. So, this  
16 has been a great day. I -- I really appreciate  
17 the organization of today's webinar because it  
18 really builds on all of the things that we've  
19 been thinking of. So, I -- I've really enjoyed  
20 all the previous presentations, and I -- I hope  
21 that this adds nicely to it.

22           So, I'm going to talk about the work that

1 we've done in terms of our horizon scan. I'm  
2 going to keep this at a relatively high level and  
3 hope, to the best that we can, that we can  
4 facilitate a conversation on this webinar. It's  
5 not necessarily our purview to come up with  
6 specific recommendations, but I think that as we  
7 go through this, you'll see that there are some  
8 suggestions that we have. Again, we -- we leave,  
9 you know, those particular recommendations to the  
10 relevant workgroups and the Advisory Committee as  
11 a whole, but --

12           Next slide, please. Fantastic, it's  
13 working. It's like a miracle. What I'd like to  
14 do is just tease apart long-term follow-up a  
15 little bit, because long-term follow-up  
16 encompasses so much. And, you know, Jeff was a  
17 hundred percent right that at the end of the day,  
18 what we want to do is make sure that we're  
19 improving health outcomes. And a key part of  
20 that is making sure that individuals get the care  
21 that they ought to get, but there are, really, a  
22 bunch of different components that all contribute

1 to this notion of long-term follow-up.

2           And, you know, at the -- at the highest  
3 level, the way that we think about it is breaking  
4 it into -- to two different domains. So, one is  
5 all the care and the related special services,  
6 educational services and so forth, that  
7 individuals receive after being diagnosed with a  
8 condition through newborn screening.

9           And then, there's another component -- I  
10 -- I -- I don't want to call it "secondary,"  
11 necessarily, but -- but a -- a second component  
12 to this, which is program evaluation, and that  
13 gets to the issues of quality improvements that  
14 we've spoken about throughout the day, as well as  
15 research, because, certainly, as Scott brought  
16 up, there are always questions around these rare  
17 conditions about the best ways to provide care  
18 and the comparative effectiveness of different  
19 approaches to management, and -- and the only way  
20 to capture that is continuing research as  
21 individuals are identified through newborn  
22 screening. And I'm going to drill into that a

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 little bit more.

2           We've spoken a lot about the -- the  
3 Cystic Fibrosis Foundation as a model for doing  
4 this, but I -- I think it's important, at the  
5 outset, to recognize how different they are, how  
6 different that foundation is in terms of the  
7 level of resources that it has and its ability to  
8 certify clinics and collect data prospectively.  
9 So, I think that, you know, that -- that's  
10 certainly an aspirational model, but -- but,  
11 again, they're -- they're so different that I --  
12 I think that we need to think about things in a -  
13 - in a more wholistic way.

14           So, next slide. So, this is where I  
15 invoke the four components of long-term follow-up  
16 that we've all discussed before, including care  
17 coordination through a medical home as in-space  
18 treatment continues, quality improvements, and  
19 new knowledge discovery. Again, I think that  
20 that captures those points that I was trying to  
21 make before.

22           Next slide, please. And it's been ten



1 years since that manuscript was -- was published  
2 describing the four components of long-term  
3 follow-up. Again, long-term follow-up is -- is -  
4 - you know, it's -- it's complex. It entails a  
5 lot, and I don't think that we should go glum  
6 that it's been 10 years since this was published,  
7 and we're still debating a lot of the same  
8 issues, because there has been a lot that --  
9 that's been published and that's been done, and  
10 that's what I'm going to be going through in the  
11 next little bit.

12           Next slide, please. So, there's certain  
13 key aspects that I just want to plant a seed that  
14 I want you to think about as we go through. So,  
15 first of all, there's really a wide variety of  
16 stakeholders when we talk about long-term follow-  
17 up. You know, at -- at the core of things, of  
18 course, are patients and their families. There's  
19 the public health system, the newborn screening  
20 programs for example. There's specialists.  
21 There's primary care providers, and -- and I will  
22 say, as a primary care provider, I was, like,

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 thrilled to death that there's so much attention  
2 being paid to the role of primary care today.  
3 There's payers. There's drug and device  
4 manufacturers. And then, there's all the other  
5 services and individuals that patients and  
6 families intersect with over the lifespan. So, I  
7 spoke about education, but, you know, there --  
8 there're a million different services that --  
9 that families interact with, and, of course, it  
10 depends a lot on the particular condition.

11           And thinking about that, there's also  
12 tremendous variation in the conditions. So,  
13 there's differences in epidemiology treatment and  
14 timing. As Scott Grosse mentioned before,  
15 congenital hypothyroidism is the most common  
16 condition that's identified on newborn screening  
17 and really lives outside of the specialist world.  
18 Oftentimes, the primary care providers often take  
19 care of children with congenital hypothyroidism.  
20 Then, you get to the metabolic conditions and the  
21 hematologic conditions, so forth, and I won't --  
22 won't, obviously, name all of them, but they all

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 have unique aspects.

2           And one of the things that makes the  
3 epidemiology challenging, of course, is,  
4 conditions are -- are increasingly being  
5 considered that have late-onset presentation.  
6 And -- and so, again, it makes it hard to think  
7 about long-term follow-up while, you know, we're  
8 waiting -- you know, while it takes a long time  
9 for the condition itself to manifest itself.

10           There's variations in the accessibility  
11 of experts and -- and where they are. There's  
12 variations in cost, and there's variations in  
13 fundamental knowledge about the condition, as  
14 well as, just, knowledge more generally among the  
15 care providers and so forth. I think it's  
16 important to consider issues related to funding  
17 for long-term follow-up, and, again, we're going  
18 to be digging into that.

19           And then, there's also the issue of  
20 authorization, which I hadn't really thought  
21 about before digging into this, but this  
22 especially ties back to public health. And for

1 those of you who primarily operate within public  
2 health, it'd be interesting to hear what you say  
3 in a little bit regarding authorization and --  
4 and, you know -- you know, where your boundaries  
5 are or what it is that you're expected to do.

6           Next slide. So, this is what I want you  
7 to keep in mind, as well, as we go through. So,  
8 we all know where we want to go, right, but it  
9 can be complicated to figuring out how to get  
10 there, and, often, the root is indirect and  
11 requires multiple lines. So, based on what we've  
12 found in terms of what's out there around long-  
13 term follow-up, there's going to -- I -- I would  
14 posit that there's going to be no -- no simple  
15 way to get there, and we need to think about how  
16 to combine these things. I was very proud of  
17 this analogy. I, like, came up with it in the  
18 middle of the night. So, anyway, I -- I hope  
19 it's helpful. If not, I -- I'm -- I still like  
20 it.

21           Next slide. So, the -- you know, I -- I  
22 will say, too, it's hard to do these long

1 presentations on these webinars without seeing  
2 anyone, so I hope that -- I hope that if -- if  
3 I'm not making any sense, or people want me to  
4 expand on things, somebody will jump in. The --  
5 oh, here comes a voice. No? Maybe not.

6           So, the -- our objective was to conduct a  
7 landscape review to inform the Advisory Committee  
8 about opportunities for improving long-term  
9 follow-up. Again, it's not our job to  
10 necessarily come up with specific  
11 recommendations, but I do think that some  
12 recommendations will naturally come up through  
13 this.

14           Next slide, please. So, the Advisory  
15 Committee has already done a lot of work around  
16 long-term follow-up. There was a publication  
17 from 2011 that reviewed, what questions should  
18 long-term follow-up be able to answer and looked  
19 at things at the patient-and-family level, the  
20 medical-care and the medical-research level, and  
21 then at the state and national level, and I would  
22 refer the -- refer you back to that particular

1 document to see all the questions that were  
2 developed.

3           And the -- there are examples of issues  
4 that were raised from the Long-Term Follow-Up  
5 Subcommittee, as well, that we see borne out in  
6 the literature. So, the issue of standardized  
7 terminology -- (coughing) excuse me. So, as  
8 Scott Shone mentioned, it's incumbent upon us, in  
9 the beginning, to be able to track individuals  
10 going forward, and one of the barriers to that  
11 has been having standardized terminology that can  
12 be used in -- in datasets to understand what it  
13 is about the individual that -- that we're  
14 tracking. Jeff Brosco and Chris Kus spoke about  
15 quality metrics, and then another issue, which  
16 hasn't come up beyond the -- the brief discussion  
17 of the federated model, was issues of information  
18 exchange. But that has been something that the  
19 Advisory Committee as a whole has been thinking  
20 about.

21           Next slide, please. So, for the purposes  
22 of this talk, again, we're focusing on activities

1 related to newborn screening. So, we're not  
2 talking, necessarily, about follow-up of  
3 individuals with conditions that are on the RUSP  
4 but who are detected other ways. Again, we're  
5 very interested in issues specifically related to  
6 newborn screening. And as best I can, I'm going  
7 to try not to be condition specific because,  
8 again, I want to try to draw out the  
9 generalizable lessons.

10           Next slide, please. So, I would be  
11 remiss without thanking our technical expert  
12 panel and -- and -- and our -- who provided all  
13 this stakeholder input. I won't read down the  
14 names; I'll just leave this slide here for a few  
15 more seconds. But what I do want to point out is  
16 that we were very fortunate, also, to have a wide  
17 array of experts and -- and people who think  
18 deeply about long-term follow-up. Separately,  
19 we've spoken to a number of individuals, as well,  
20 but these were people who served on the initial  
21 technical expert panel.

22           Okay, next slide, please. So, again, I'm

1 not going to go directly through the report that  
2 -- that you all have in -- in the briefing book,  
3 but I'm going to highlight things. So, the --  
4 the first thing is, I would point that most  
5 newborn screening programs are involved with  
6 long-term follow-up, but the extent and nature of  
7 it is variable. There are some components of  
8 long-term follow-up that -- that are embedded in  
9 and not necessarily considered to be long-term  
10 follow-up, but I think the -- with the definition  
11 we have, really do hit it.

12           So, some newborn screening programs have  
13 specific referral contracts with specialists and  
14 are able to get feedback from those groups that  
15 they have contracts with regarding whether or not  
16 individuals followed up with them. But, again,  
17 one of the things that we heard a lot was that  
18 the -- that there was this either absence of  
19 responsibility or -- or absence of authority that  
20 limits what the newborn screening programs can  
21 do, specifically around long-term follow-up.  
22 You'll not be surprised to note that issues of



1 time horizon is challenging, and nearly everyone  
2 we spoke to -- and -- and, again, this is not  
3 surprising -- is that engaging families in this  
4 process is critical moving forward.

5           Next slide, please. So, we did a -- a  
6 horizon search around long-term follow-up --  
7 essentially, putting in the -- in the key words  
8 for long-term follow-up and newborn screening and  
9 then going through those reports and seeing which  
10 ones were really associated with long-term  
11 follow-up and which weren't. And this is going  
12 to build up what, I think, is going to be a nice  
13 library for the Advisory Committee to consider.  
14 K.K. and I went through these articles and  
15 realized that there was a natural grouping of  
16 them, and let me just go through and describe  
17 them.

18           So, the first grouping -- and this is not  
19 a hierarchical order, obviously, in terms of  
20 importance or anything, but it's just -- just a  
21 list of the categories that we had. So, the --  
22 the first type is around recommendations about

1 how to conduct long-term follow-up. So, that  
2 would be things like the reports that the  
3 Advisory Committee developed around what  
4 questions should it be able to address or other  
5 documents that have been developed around how to  
6 data share for long-term follow-up. So, those  
7 aren't necessarily reports of outcomes of long-  
8 term follow-up but provide good information about  
9 how to do it.

10           The second is related to prospective  
11 studies of data collected by newborn screening  
12 programs for specific purposes of long-term  
13 follow-up. The third is for prospective studies  
14 of the data collected outside of newborn  
15 screening programs for reasons other than long-  
16 term follow-up. So, again, two different kinds  
17 of prospective data, the second one on that list  
18 there, again, being data collected by the  
19 programs specifically for this purpose of  
20 prospective long-term follow-up and then the  
21 other is prospective data collected by other  
22 groups. And then, the fourth is retrospective

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 studies of existing data collected for research  
2 or for non-research purposes, so any type of  
3 retrospective study.

4           Now, what I would say from having looked  
5 at it is, the retrospective studies are -- are --  
6 have provided a lot of very important  
7 information, and it's not surprising. So,  
8 retrospective studies are somewhat more feasible  
9 because the data are already there, but they have  
10 the challenge of being able to link the data to  
11 these retrospective studies are often based on  
12 complicated linkage between, for example, newborn  
13 screening program data and different claims data,  
14 sometimes even including things like vital  
15 statistics data -- birth certificates, death  
16 certificates, or educational data, that kind of  
17 thing.

18           Again, the -- the challenge of these  
19 retrospective studies are identifying the  
20 datasets and figuring out how to link them  
21 together. As with any other retrospective study,  
22 either the -- the quality is only as good as the

1 -- the kind of data that you have. So, sometimes  
2 you might be limited to only claims data instead  
3 of having, you know, for example, specific  
4 patient-level data or -- or laboratory data. But  
5 these play a really important role in terms of  
6 the evidence that's out there around long-term  
7 outcomes.

8           In terms of -- I -- I just want to  
9 highlight one other thing, too. The prospective  
10 studies of data collected outside of newborn  
11 screening programs, an example of that would be  
12 the registries that have been set up for  
13 following individuals who are getting specific  
14 therapy.

15           So, for example, there's registries for  
16 Pompe disease. We looked at those before, when  
17 we were doing the systematic evidence review  
18 around Pompe disease. Oftentimes, families opt  
19 into these registries, and in that case, Genzyme,  
20 which, I guess, is now Sanofi Genzyme, managed  
21 the dataset.

22           So, those kinds of registries can be very

1 helpful, but, again, you know, the -- the  
2 challenge is getting access and making sure that  
3 one understands what's in it. But I -- I hope  
4 that this classification helps, and I hope that  
5 the other thing that it emphasizes is that  
6 there's more than one way to get to the kinds of  
7 answers that we're interested in.

8           Next slide, please. So, I'm going to go  
9 back and talk about the -- the -- the studies in  
10 the first group, the conduct of long-term follow-  
11 up. So, these have answered the questions of how  
12 it should function. There was a study that was  
13 done from the Southeast Regional Collaborative  
14 that developed what was called the Business  
15 Process Analysis that showed all the parties that  
16 were involved and how they would participate in  
17 exchanging data. There have been -- studies have  
18 been published around data requirements for  
19 registries.

20           Then, there's specific recommendations  
21 for making long-term follow-up more feasible.  
22 So, that -- that includes things like how to link

1 results to other datasets. And there was a  
2 report that grew out of the Advisory Committee  
3 about linking blood spot collection device serial  
4 numbers -- so, the -- the serial number on the --  
5 on the filter card -- to birth certificate to  
6 facilitate long-term follow-up, as well as short-  
7 term follow-up, really. There's work that's been  
8 done at the regional collaborative level  
9 describing approaches to long-term follow-up.

10           And then, separate to all this, there  
11 have been a number of surveys that were done --  
12 these surveys, now, are -- they were between,  
13 like, five and ten years old -- that were done to  
14 assess, from the newborn screening program level,  
15 what sort of data collection they do, what kind  
16 of follow-up activities they're involved with,  
17 and what the barriers are to care. So, it's not  
18 at the individual level, but at least those kinds  
19 of reports describe what the infrastructure, at  
20 least back then, was for doing long-term follow-  
21 up.

22           Next slide, please. So, in terms of

                          OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 looking at that -- that -- that body of -- those  
2 papers, I -- I -- I think it's fair to say that  
3 long-term follow-up is -- is well defined in  
4 terms of, at least people know what -- what they  
5 want to do. It still doesn't necessarily mean  
6 it's happening, but, again, that people are  
7 interested in doing it, that there are models for  
8 long-term follow-up. It's been a focus of the  
9 regional collaboratives.

10           One of the things that became clear as we  
11 were reading the -- the published literature, as  
12 well as the -- the gray literature, is that some  
13 good ideas have come down, but funding and  
14 sustainability has been a problem. And then, in  
15 terms of looking at the descriptions of quality  
16 metrics that have been described, it's not  
17 surprising, but there's attention between  
18 condition-specific quality measures versus more  
19 general measures that could be used across  
20 conditions.

21           Next slide, please. So, in terms of the  
22 prospective studies in newborn screening, there

1 are a wide range of different conditions that  
2 have been studied across multiple different  
3 countries. This -- this work, again, though, is  
4 hard to do.

5           One advantage from these prospective  
6 studies is that they include a comparison  
7 population. Again, some of the observational  
8 studies, especially the retrospective  
9 observational studies, you need to be careful  
10 about whether or not there's a comparison group  
11 or not, because you can easily make the wrong  
12 conclusions about the effectiveness of a therapy  
13 within a comparison group. In terms of the  
14 prospective studies, the -- the longest we saw  
15 things go out was six years, and most of these  
16 studies were focused on predictors of long-term  
17 outcomes based on initial presentation or -- or  
18 therapy that was given.

19           Next slide, please. So, in terms of  
20 lessons, I mean, you know, it -- it is reassuring  
21 that the data can be collected by newborn  
22 screening programs for long-term follow-up to



1 evaluate specific hypotheses, but when you look  
2 at the -- the papers that have been done, you  
3 know, obviously, they weren't thinking that, many  
4 years later, I would be looking at their papers,  
5 trying to figure out what made these things  
6 feasible or not, but, oftentimes, they don't  
7 specifically address, when they are successful,  
8 how they managed to pull it off or the cost that  
9 it takes to collect prospective data. And I  
10 think that that's a -- an important thing to  
11 consider.

12           Next slide, please. So, let's look at  
13 the prospective studies of data that was  
14 collected outside of newborn screening. So, just  
15 to give you a flavor of the kinds of things:  
16 There was a study that was done in the United  
17 Kingdom on hearing screening that got captured in  
18 our search, but we know that there are other  
19 disease-specific registries that are being used  
20 to collect long-term data. And so, I spoke about  
21 the Pompe disease registry, so I won't repeat  
22 that, and then I also mentioned the cystic

1 fibrosis registry, so I won't repeat the  
2 discussion there.

3           Next slide, please. So, in terms of  
4 prospective studies of data that was collected  
5 outside of newborn screening, the lessons learned  
6 is that, you know, that they're, oftentimes, not  
7 done. And given the fact that those registry  
8 studies weren't captured in our -- our initial  
9 search makes us -- makes me wonder, you know, how  
10 -- how well we're leveraging them for prospective  
11 research. Of course, registries can also be used  
12 for retrospective research.

13           Next slide, please. So, I spoke in the  
14 beginning about the role of retrospective  
15 studies, and let me just loop back around to that  
16 again and say that they generally fell into two  
17 flavors. There's chart audits that are done  
18 within specific treatment centers, so that can be  
19 helpful in terms of learning about the patients  
20 that were seen in that one center, but you can  
21 lose a comparator group.

22           And then, I mentioned before about the

1 data linkage studies. Those are, oftentimes,  
2 more powerful in that you can collect more  
3 subjects and do more comparisons, and -- and  
4 there's the ability to -- to -- depending on how  
5 it's done, to have a -- a comparator group, but,  
6 again, there are methodologic challenges  
7 associated with doing those.

8           Next slide, please. So, retrospective  
9 studies -- You know, I can't put down that --  
10 that these are efficient for rare disorders. You  
11 know, one of the -- the challenges is, you're  
12 waiting for the outcome to occur. I mean, it's  
13 true, whether or not you're doing a prospective  
14 or a retrospective study that you need to have  
15 the outcome of interest occur before you can say  
16 anything.

17           Linkage across the various -- various  
18 datasets can be difficult, and it -- it -- it's  
19 easy to do a linkage study wrong. So, I -- I  
20 think that if we're going to be consumers of this  
21 kind of work, we need to make sure that things  
22 are done correctly. Everyone knows, the claims

1 data can be incomplete or inaccurate.

2           One of the things that, sort of, bubbled  
3 through as I was reading these different papers,  
4 whether they be prospective or retrospective, is  
5 that there's this gap, I think, around the  
6 association between intermediate measures and  
7 long-term outcomes. So, let me drill into that a  
8 bit so -- so it makes sense.

9           So, as clinicians, we're interested in  
10 length of life, quality of life, opportunities  
11 for children as they become adults, those sort of  
12 patient-centered, meaningful outcomes. But those  
13 can take a long time to develop, and they can be  
14 difficult to measure. So, more often, you may  
15 find intermediate measures, so changes in a  
16 biomarker or changes in the receipt of some  
17 service being given, that -- that kind of thing.

18           And I think it would help the field if  
19 there were better linkages between these  
20 intermediate measures and long-term outcomes,  
21 from a -- a research perspective, given how  
22 complicated it is to do this work. So, these are

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 rare conditions with outcomes that may not happen  
2 for quite a long time. So, I think that that's a  
3 -- a fertile area for potential research.

4           Next slide, please. So, I -- I'm going  
5 to highlight some examples of long-term follow-up  
6 activities. This is part of our gray literature,  
7 unpublished search, as well. This is not meant  
8 to be complete. I just want to illustrate some  
9 things. I'm sure that I'm going to leave out  
10 everyone's favorite long-term follow-up activity,  
11 and, hopefully, during the Q&A period, you -- you  
12 can bring it up. Again, this is just meant to --  
13 to give the taste of -- of what's out there.

14           Next slide, please. So, I want to  
15 highlight something done in California, where  
16 they have a web-based screening information  
17 system, which can facilitate referral tracking  
18 and coordination. That was the kind of thing  
19 that I talked about before. And these centers  
20 can provide follow-up through five years of age  
21 using an annual patient summary, so, you know, a  
22 high-level accounting of how individuals are

1 doing. There's a Colorado program that includes  
2 a legislative requirement mandating reporting of  
3 birth defects and other newborn disorders that  
4 facilitates linkage of datasets, so vital  
5 statistics, hospitalizations, and that kind of  
6 thing.

7           Next slide, please. Can you go to the  
8 next slide? There we go. Illinois has a -- an  
9 annual report based on data collected for  
10 children through 15 years of age. Minnesota has  
11 a dedicated long-term follow-up advisory -- it  
12 was actually somebody in the technical expert  
13 panel -- but they're engaged in a wide variety of  
14 tracking of -- of outcomes, and they're also  
15 collecting parent-reported developmental status  
16 on children.

17           Next slide, please. Clearly, long-term  
18 follow-up activities, in the past, has been a  
19 focus of the regional centers, as well as the  
20 National Coordinating Center. In the brief time  
21 that I have today, I can't go through all these  
22 particular activities, but -- but, clearly, data

                  OLENDER REPORTING, INC.  
1100 Connecticut Avenue NW, #810, Washington, DC 20036  
Washington: 202-898-1108 • Baltimore: 410-752-3376  
Toll Free: 888-445-3376

1 collection, evaluation, quality improvement have  
2 all been a focus.

3           There's the NBS Connect program, which  
4 developed out of the Southeast Region. It  
5 includes a patient registry and portal, and it's  
6 focused on inherited metabolic disorders.

7           Sue Berry, before, mentioned some of the  
8 work that -- that's going on with the Newborn  
9 Screening and Translational Research Network, and  
10 in specifically, Longitudinal Pediatric Data  
11 Resource, which is a data repository for specific  
12 conditions, including, for example, SMA, and it's  
13 built on a REDCap database. If we have time,  
14 Sue, if you want to comment further on -- on  
15 that, it -- that would be great.

16           NewSTEPS, as everyone knows, and  
17 especially from the presentation this morning,  
18 has really been focused on the issues of data  
19 reporting infrastructure but, thus far, has been  
20 focused on short-term quality indicators as  
21 highlighted by the timeliness presentation  
22 before. Obviously, short-term follow-up is an

1 important component to the whole process of  
2 making sure that newborn screening works, but you  
3 know, thus far, most of their work has been  
4 around the -- the -- on the short-term side of  
5 things. I invite them to comment on -- on future  
6 directions that they plan.

7           Next slide, please. So, let me -- let me  
8 just end by summarizing that I think it's  
9 important to think of long-term follow-up as  
10 having these two different aims: the -- the part  
11 around assuring care delivery, but also the part  
12 around program evaluation and research. I think  
13 there's tremendous opportunities in terms of  
14 standardizing long-term follow-up outcome,  
15 measures focusing on the quality metrics,  
16 thinking about things at the -- the three levels  
17 -- the patient, the population, the system level  
18 -- expanding use of registries, and expanding  
19 support for observational research, whether -- I  
20 -- I have retrospective written here, but,  
21 obviously, prospective is also important. But I  
22 think that -- that there's lots of opportunity

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376



1 around the retrospective side of things in terms  
2 of identifying datasets, linkages, thinking about  
3 risks of bias assessment in -- in what these  
4 things mean.

5           So, let me just end by saying, it's  
6 impressive to see how much work is going on  
7 around the topic of long-term follow-up, but also  
8 say that I think that there's tremendous  
9 opportunity to continue to think about what the  
10 Advisory Committee can do to foster more complete  
11 long-term follow-up, with the ultimate goal of  
12 improving the health of individuals identified  
13 through newborn screening.

14           So, let me just stop there and open  
15 things up for questions or comments.

16           DR. JOSEPH BOCCHINI: Alex, thank you.  
17 That was a really -- a nice presentation, and I  
18 think, given where the Long-Term Follow-Up and  
19 Treatment Workgroup is, I think it -- it really  
20 helps inform their work, in fact, within --  
21 overall, the work of the Committee. So, thank  
22 you.

1 First, we have Sue Berry.

2 DR. SUSAN A. BERRY: I'm sorry to --  
3 This is Sue Berry. I'm sorry to have the -- the  
4 jumpy finger there, you know, with my little hand  
5 raising, but I -- Alex, thank you for the  
6 tremendous amount of effort that this took. It's  
7 a really -- a complicated set of questions and --  
8 and so much at the heart of what we want to  
9 succeed at in newborn screening. So, I  
10 appreciate all of the attention that you and K.K.  
11 played to this.

12 Couple comments just to add some  
13 additional thoughts in terms of resources of  
14 extant activities -- I'm going to point out the  
15 hard work that NORD has been doing with patient  
16 advocacy groups to encourage patient-centered  
17 development of data collection regarding specific  
18 disorders. That, I think, will be a really  
19 valuable resource and presents a really critical  
20 element in our plans for long-term follow-up,  
21 which is -- is, how the families think we're  
22 doing.

1           The other big resource that we don't  
2 always think of is that there are selected rare  
3 disease networks, the NCATS, RDCRCs, that have  
4 some crossover with newborn screening because  
5 they follow disorders that are newborn screened.  
6 And the one I specifically mentioned is the very  
7 specific issue of -- of urea cycle disorders.

8           I wanted to bring up two specific  
9 challenges that you highlighted that I want to  
10 really emphasize. The first one is the idea of  
11 the single identifier. We've addressed this  
12 issue a number of times through the years since  
13 I've been watching the Committee, and there's  
14 always this sense that people are afraid to have  
15 a single, government identifier. It kind of  
16 freaks people out that the carrier from birth,  
17 and -- and when people have tried to assign such  
18 numbers previously, it's been met with  
19 resistance. I don't know if that will still be  
20 true, but it's something, I think, we need to be  
21 cognizant of as -- as a potential issue.

22           And the other thing I'm going to

1 highlight is the issue of sustainability. You  
2 mentioned it, but I -- I can't emphasize that  
3 enough. I think we've brought it up in a number  
4 of these discussions today already, which is that  
5 the ability to collect and maintain these kinds  
6 of data are -- it's expensive and -- and people  
7 intensive, and -- and I just hope, as we consider  
8 this, we'll also consider manpower issues, or  
9 peoplepower issues, perhaps. Thanks.

10 DR. JOSEPH BOCCHINI: Thank you.

11 Bob Ostrander?

12 DR. ROBERT OSTRANDER: Yeah, hi, thanks.

13 So, that was a great talk, Alex. It's Bob  
14 Ostrander, American Academy of Family Physicians.  
15 Just want to kind of tell you what my takeaway,  
16 30,000-foot-view impression was after listening  
17 to all this, and, you know, I hope it reinforces  
18 what we think needs to be done and that there is  
19 a tremendous amount of work being done on this,  
20 obviously, across the landscape. And the  
21 struggles that you pointed out at the end,  
22 because we aren't really meeting our goals,

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 suggests that it's a -- a lot of energy that  
2 isn't channeled to produce as much result as it  
3 could.

4           And the other thing that immediately  
5 comes to my mind when I hear about all this work  
6 that's being done is, as Sue pointed out earlier,  
7 and others, if we were to federate this and ask  
8 the centers to do it, it would take a lot of  
9 commitment and resources. What work that's being  
10 done right now is taking resources. I mean, that  
11 work isn't being done for free, without time and  
12 treasure. And I think we're spending a -- a lot  
13 of time and treasure with returns but not nearly  
14 the return -- the bang for the buck and the bang  
15 for the time we could get if it was more focused.

16           So, I -- I -- so, my -- my takeaway is  
17 two things. One is, I think it is imperative  
18 that we, you know, really try to work to use  
19 planning to redesign the system, so that all this  
20 great work reaps the maximum benefit and, number  
21 two, I think we need to look at the resources  
22 that we're accessing right now to do some of this

1 work and see if we couldn't transfer some of  
2 those resources to the work that we propose  
3 instead of having to generate new revenue  
4 streams, and that might make it a little less  
5 impossible to achieve a vision over time.

6 DR. JOSEPH BOCCHINI: Thank you. Next, I  
7 have Melissa Parisi and then Chris Kus.

8 Melissa?

9 DR. MELISSA PARISI: Hi, this is Melissa  
10 Parisi, and I just wanted to follow up some of  
11 the comments that Sue Berry made a few moments  
12 ago. And, also, thank you, Alex, for your  
13 excellent presentation. You certainly raised a  
14 lot of the issues related to long-term follow-up.

15 At the NIH, we, of course, are very  
16 interested in research into developing treatments  
17 that will improve the lives of people who have  
18 newborn screening conditions, and the challenge  
19 has been, for us, that many of the studies that  
20 really address long-term follow-up, or what we  
21 would call natural history studies, are very time  
22 and labor and money intensive. But there are a

1 few mechanisms that have been developed that I  
2 think have been reasonably successful at allowing  
3 investigative teams to really -- you know, really  
4 pursue some of these natural history studies for  
5 some of these rare conditions that we're talking  
6 about that are the consequence of newborn  
7 screening, and I just wanted to highlight a few  
8 of those for people on the call.

9           The first one, of course, was mentioned  
10 by Alex and by Sue, the Newborn Screening  
11 Translational Research Network, which is, really,  
12 a contract funded to the American College of  
13 Medical Genetics and Genomics to try to capture  
14 the datasets that are so valuable for many of the  
15 conditions that are screened in newborns. And  
16 this can include conditions that are already on  
17 the RUSP or conditions that impact newborns but  
18 could have the potential to be added to the  
19 Recommended Panel.

20           And at this time, we are linking the  
21 datasets -- some of those datasets to global  
22 unique identifiers because, from the NIH

1 perspective, any data that are generated should,  
2 really, be shared as broadly as possible, while,  
3 of course, protecting the individual identities  
4 of those impacted newborns. And one of the ways  
5 to do so is through an algorithm that allows for  
6 these global identifiers to be generated and to  
7 be linked to that individual, without actually  
8 revealing the individual, personal, identifying  
9 information. So, that's one of the resources  
10 that -- that exists that is funded by NIH.

11           A second is one raised by Sue Berry,  
12 which is the Rare Disease Clinical Research  
13 Network, and these currently are -- now there are  
14 22 different RDCRCs or consortia that are  
15 studying over a hundred different rare diseases,  
16 and a number of these, at least 50% of these  
17 conditions that are part of the Rare Disease  
18 Clinical Research Network funded -- led by the  
19 Office of Rare Diseases Research -- over 50% of  
20 these impact pediatric patients, and quite a few  
21 have implications in -- for newborn screening.  
22 So, I know that the current RFA is on the

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376



1 streets, and applications are due in October for  
2 the next round of these awards, and -- and we  
3 hope that some of them will actually include  
4 newborn screening-related conditions.

5           And then, finally, we have a program  
6 announcement with specified review for natural  
7 history studies for conditions that are either  
8 part of newborn screening or could be screened  
9 for a newborn. And this is a specific mechanism  
10 that invites natural history-type studies, which  
11 really can inform long-term follow-up for some of  
12 these rare neonatal conditions. Because there's  
13 a specified review, the panels are sympathetic to  
14 the fact that natural history studies,  
15 oftentimes, aren't hypothesis driven. So,  
16 there's actually value in having somewhat more  
17 exploratory aims. And we've funded a number of  
18 projects under this program announcement that  
19 have been quite important for the field, and --  
20 and we are about to renew this for another three  
21 years. So, that's one of the things on the  
22 street.

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1           And then, my final comment is, really,  
2 just one about the value of historical controls  
3 in terms of developing treatment trials for some  
4 of the conditions in newborn screening. I don't  
5 want to speak for FDA, but I know that for some  
6 conditions, and I think Pompe disease is one of  
7 them, having the data where historical controls  
8 and -- and, sort of, historical data about  
9 natural history actually was really instrumental  
10 in being -- in allowing the FDA to approve the  
11 intervention or the drug for that particular  
12 condition. And so, I think that the value of  
13 doing research in this environment cannot be  
14 underestimated.

15           And this also creates the evidence base  
16 for adding conditions to the -- the Recommended  
17 Panel, but there is one caveat: By virtue of  
18 doing newborn screening, we change the natural  
19 history of many of these conditions. So, there's  
20 always the challenge that historical controls and  
21 the historical data about natural history can be  
22 problematic in terms of looking forward. So, we

1 always have to be nimble about trying to gather  
2 the new data that inform treatment trials.

3 That's it. I just wanted to make those  
4 comments. Thank you.

5 DR. JOSEPH BOCCHINI: Thank you, Melissa.

6 And, next, I have two Committee members:  
7 Cindy Powell and Jeff Brosco.

8 Cindy first.

9 DR. CYNTHIA M. POWELL: Hi, this is  
10 Cynthia Powell. I just wanted to mention the  
11 importance but, unfortunately, the lack of  
12 evidence-based treatment and management  
13 guidelines. I know that efforts have been made,  
14 you know, in recent years, by the ACMG and the  
15 Genetic Metabolic Dietitians International Group.  
16 These are very time-consuming and expensive  
17 efforts, and especially for new conditions on the  
18 RUSP or -- or being added to the RUSP, but also  
19 for, even, conditions that have been on the RUSP  
20 for a long time. I -- I think there's a -- a  
21 lack of these, and if we're going to really make  
22 inroads in terms of quality improvement, these

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 are, you know, extremely important. Thank you.

2 DR. JOSEPH BOCCHINI: Jeff Brosco?

3 DR. JEFFREY P. BROSCO: Yeah, this is  
4 Jeff Brosco. So, I -- I have a question for --  
5 for you, Alex, and maybe K.K., and this is a  
6 great presentation, and -- and it makes sense of  
7 a lot of complex literature. And -- and  
8 obviously, a lot of your focus was on published  
9 literature because that's what's available, which  
10 often leads to -- to research and longitudinal  
11 studies.

12 But if we think in -- for a minute about,  
13 you know, in any one state or territory, if we  
14 wanted to know whether a child who is eight years  
15 old, who was diagnosed with PKU through newborn  
16 screening, was getting treatment and appropriate  
17 follow-up, and someone was checking in to how  
18 he's doing, I guess some states might be doing  
19 something, some registries might be doing  
20 something, but, in some sense, we -- we don't  
21 really have a good handle on what's happening  
22 anywhere.

1           And I just wonder -- the question for  
2 you, really, is, you gave us, sort of, the -- the  
3 30,000-foot view in your presentation. Will your  
4 report have more detail about what's happening,  
5 or at least references, just, say, if we wanted  
6 to know what was happening in -- in different  
7 places around the states?

8           DR. ALEX KEMPER: Yeah, so we -- the  
9 report'll have more detail, and we'll have  
10 references to what happens within individual  
11 states where we could find that from either a  
12 published thing or -- or looking in the gray  
13 literature. So, there's a lot of states where we  
14 just couldn't find anything from looking, and we  
15 -- One of the restrictions from this kind of  
16 work is that we can't do a -- a survey of each  
17 state newborn screening program. Certainly, we  
18 can reach out to the -- the NCC and see if they  
19 have any information for the states that we don't  
20 have.

21           One of the things that I think is -- is  
22 interesting again to -- to think about is this

1 issue of authority, as well, in terms of, you  
2 know, what -- what states are allowed to do. So,  
3 you know, I've presented some models of -- of  
4 some states, like California, that -- that has an  
5 active program where they can see who was  
6 followed up at one of the sites that they  
7 contract with, but, remember, too, that -- that  
8 not everyone is -- is followed by a specialty  
9 center, right? So, I'm thinking about congenital  
10 hypothyroidism, for example.

11           So, this is a very long-winded,  
12 roundabout way to say, we'll -- we'll give you  
13 more granular detail on everything that we could  
14 find, but, just by nature of the way that we can  
15 collect data, it's going to be incomplete.

16           DR. JOSEPH BOCCHINI: Okay. K.K., did  
17 you want to add something? K.K. Lam?

18           DR. K.K. LAM: Yes, hi, this is K.K. Lam.  
19 I work with -- Oh, I'm echoing really bad.

20           DR. ALEX KEMPER: I -- I would say, we  
21 are a great team, and I really should -- I meant  
22 to thank K.K., again, for all the work that she's

1 done to make this possible. So, let me just slip  
2 that in right there.

3 (Laughter)

4 DR. K.K. LAM: Well, and right back at  
5 you, Alex. So, it's a team, for sure. And I --  
6 I don't know if you can hear the echo. I can  
7 hear my own echo on this, but --

8 I just wanted to add, in response to  
9 Jeff's comments, that, yes, and in part -- So,  
10 the -- the illustrative cases that -- that Alex  
11 mentioned -- you know, for instance, California,  
12 a bit on Colorado, Illinois, and some of the  
13 states that we've identified that -- that do have  
14 systems in place, long-term follow-up systems of  
15 some sort, right, where they're tracking  
16 information at an overall level, which is, I  
17 think, what you're talking about -- As we had  
18 talked about before in our -- in our discussions,  
19 you know, we -- we are going to try and highlight  
20 some of the -- as much as we can, the procedures  
21 and details about those in terms of, you know,  
22 what about this has worked, kind of as models,

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 basically, a practice that may now help -- may  
2 help inform, right, any roadmap that might go on,  
3 without fully recommending, but --

4           And, similarly, in the report, we've  
5 highlighted the -- Colorado's system, as I  
6 understand it -- now, I know that it always  
7 changes, and so I'm still verifying the -- the --  
8 the currency, but the -- part of their system is  
9 a -- or, at least, part of their follow-up system  
10 and public health surveillance system follows  
11 birth defects and at least some of the newborn  
12 screening disorders. So, it's -- it's not a  
13 newborn screening long-term follow-up, right, but  
14 it overlaps. And that's, at least in, you know,  
15 a few -- as of a few years ago, had some nice  
16 crossover in a model for Waybets (phonetic)  
17 that's united these, or at least, kind of,  
18 forced, by mandate, these different systems to  
19 coordinate.

20           So, to that degree, our hope is that we  
21 will know -- in the -- the final, final report,  
22 we will have some of those -- those more -- some



1 of those particular states of where there's  
2 clearly systems set up and ongoing follow-up,  
3 where we can try and highlight some of the things  
4 that seem to be effective in what they might have  
5 to offer and then, potentially, any limitations  
6 on a national level, because they're clearly  
7 state specific.

8 DR. JOSEPH BOCCHINI: Thank you, K.K.  
9 So, we only have time for two more comments, so  
10 we'll go in line to Sue Berry and then Mike  
11 Watson.

12 Sue?

13 DR. SUSAN A. BERRY: So, I'll keep it  
14 quick. This is Sue Berry. I -- I want to -- and  
15 help me with NewSTEPS, experts, but I want to say  
16 that's something they may know. They may not  
17 know what states are doing, but they may know if  
18 they are doing newborn screening follow-up, at  
19 least just a -- a "yes" or "no," because there  
20 are flat out some states that can't and don't.  
21 And I -- I -- I think one attribute may be to  
22 find ways to at least ask if they -- if they are

1 doing it. I don't -- and -- and for those that  
2 aren't, get some sense of what the barriers are,  
3 and authorization is the key there, I would  
4 suspect. Thanks.

5 DR. JOSEPH BOCCHINI: Thank you. Mike?

6 DR. MICHAEL S. WATSON: Yeah, thanks,  
7 Joe. Mike Watson. So, I -- to build on what Sue  
8 said, I think we also have to understand why  
9 states do long-term follow-up or at least why we  
10 think long-term follow-up is important to be  
11 done. We already work with -- I mean, we've had  
12 meetings of 15- to 17 states and are working with  
13 a lot of them right now developing long-term  
14 follow-up, but the state programs have more of an  
15 interest in public-health kind of issues -- Are  
16 people getting the care? Are they seeing  
17 specialists, or are they seeing primary care? --  
18 while the provider side is much more interested  
19 in -- in the outcomes and, you know, knowing how  
20 and certainly in the earlier -- earliest stages  
21 of our pilots, understanding, what are the  
22 experiences of the other providers, because

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 that's when we start to find out lots of things  
2 we didn't know when we went into the pilot  
3 studies.

4 I would also add that the literature is  
5 going to be a little deceptive as to what the  
6 current lay of the land is. I think the regional  
7 collaboratives are gone now. They're now the  
8 Regional Genetics Networks, and -- and I'm still  
9 the head of the National Coordinating Center for  
10 them, but their focus has shifted over to access  
11 of populations -- really, underserved populations  
12 -- to services much more than they're being  
13 involved in long-term follow-up.

14 During that transition and the  
15 development of the NBSTRN, most of the issues  
16 related to long-term follow-up have fallen over  
17 to the NBSTRN side, where we now have somewhere  
18 between 7,000 and 7,500 babies identified in  
19 newborn screening, with any of a large number of  
20 conditions, who are being followed at interval  
21 visits. Some have finished and have acquired  
22 data over several years, and others are

1 continuing.

2           You know, we're -- we -- we're running  
3 four pilots right now. We'll probably have six  
4 multi-state pilots going by next year. We build  
5 the clinical data elements and underlying data  
6 collection tools for those before we start the  
7 pilot. So, that is very much in place. It's  
8 where we're not involved in the pilots that they  
9 tend not to go with, you know, sort of, goals of  
10 compatible data over the long term.

11           And then, to build on something that --  
12 well, actually, I think when we're thinking about  
13 genetic diseases, you have to remember that these  
14 are rare diseases. They have a fair bit of  
15 variable expressivity, and the underlying genetic  
16 etiology is enormously variable. So, it's not a  
17 question that can be answered, generally, in a  
18 very short period of time.

19           As to the outcome, there's enough  
20 variation that contributes stage of onset and  
21 severity that I -- I think it's actually a -- you  
22 know, a post-market-surveillance kind of problem,

1 much like the Orphan Drug Act was developed to  
2 address, where, you know, there's a certain  
3 amount of data that tells you, you -- you know  
4 enough and probably should start screening, but  
5 then you put in place, essentially, a -- a -- a  
6 requirement for data sharing, much like post-  
7 market surveillance does for the Orphan Drug Act,  
8 and then you learn, over time -- because with  
9 these -- with the amount of variation in genetic  
10 disease, finding one doesn't tell you a whole lot  
11 about all of them. So, you do need a long-term  
12 plan for everybody to be able to improve in the  
13 care they deliver to these -- to these babies.

14           Some things can't be done  
15 retrospectively. We can't do -- generally, we  
16 can't do much about age of onset and penetrance  
17 retrospectively, because we have very biased data  
18 from people who are sick, very little  
19 asymptomatic-people data that we can use to  
20 predict likely outcomes. So, you know, it's a  
21 very different kind of dataset in newborn  
22 screening.

1           And then, lastly, I would say, we need to  
2 build -- I think Debbie Freedenberg commented  
3 earlier that we need to look, when we're dealing  
4 with educational delivery, at new formats by  
5 which education is delivered, much of it web  
6 based, much of it electronic, light bulbs under  
7 EMRs and that kind of thing. We're already  
8 working with the eMERGE Network and the EHR  
9 Workgroups and ClinGen to facilitate  
10 interoperability of a lot of this kind of  
11 information, but, ultimately, if we can't get the  
12 right kind of information we need into EMRs that  
13 can then be taken at the time you need to do an  
14 analysis, then we're never going to be able to  
15 sustain this effort.

16           So, I think we've got to look at the  
17 whole system. The paper we're writing should be  
18 done in a couple of months and does look  
19 carefully at the nature of the systems in which  
20 we're trying to operate these programs. And  
21 unless we take a high-level look at -- at -- at  
22 the way we approach these kinds of things, I

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 don't think we're going to do any better than  
2 short -- very short-term solutions. That's all.

3 DR. JOSEPH BOCCHINI: Thanks, Mike. I --  
4 I think your work is certainly going to help  
5 inform the work of the -- well, the Workgroup, as  
6 well as the Committee.

7 So, Jeff, as Chair of the Long-Term  
8 Follow-Up Workgroup, do you want the last  
9 comment?

10 DR. JEFFREY P. BROSCO: Sure. I'll just  
11 say, very quickly, this has been an extremely  
12 rich discussion, and I've been taking notes  
13 furiously that we'll -- we'll follow up as a  
14 workgroup. And then, part of it's going to be, I  
15 think -- and -- and -- and Alex and everyone else  
16 has really helped separate this out -- you know,  
17 what is the follow-up that you need to do for  
18 research purposes to show how you improve  
19 treatment, what needs to be done for program  
20 evaluation to make sure programs are running  
21 well, and what, kind of, needs to be done at a  
22 population-health level to make sure that every

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 child with a condition is getting good treatment?  
2 And -- and that might be one of the ways we start  
3 dividing up how we assure long-term follow-up, is  
4 -- is to -- is to separate out those, sort of,  
5 three different activities.

6 So, thank you, everyone. This has been  
7 incredibly helpful for moving our workgroup  
8 ahead, and we hope to bring the recommendations  
9 to the November meeting.

10 DR. JOSEPH BOCCHINI: All right. Thank  
11 you very much.

12 Let's put up the next slide set, and,  
13 Alex, you are back in the hot seat.

14 DR. ALEX KEMPER: Fantastic. So, I am --  
15 in the interest of time, actually, I'm going to  
16 go through this presentation quickly, so that  
17 there's time for questions. And let me -- let me  
18 just echo what Jeff just said. I -- I thought  
19 that conversation was really interesting, and I -  
20 - I learned a lot from the -- the whole process.  
21 So -- so, thank you for the -- thank you for  
22 that.



1           So, the second project that K.K. and I  
2 have been working on is developing this newborn  
3 screening technology compendium. Dr. Bocchini  
4 talked about it before, but the whole idea of  
5 this is to provide, essentially, high-level  
6 background information around screening methods  
7 diagnostic approaches, and treatment, the idea  
8 being that not only the Advisory Committee but --  
9 but -- but, really, the -- the general public is  
10 having to -- you know, or -- or interested  
11 parties, are having to communicate and make  
12 decisions around newborn screening.

13           And with how fast the technology is  
14 changing and with how nuanced everything is, it's  
15 important that everybody operate from a -- from  
16 the same place in terms of when they're talking  
17 about the various screening methods, diagnostic  
18 approaches, or treatment. So -- and -- and like  
19 I said, everyone knows that -- that things are --  
20 are changing very rapidly.

21           So, what we're doing is working on  
22 developing, you know, sort of, this living

1 document. I think of it as, kind of, like, the  
2 Wikipedia of -- of newborn screening, writ --  
3 writ large. And we're doing this by -- we --  
4 we've, like we normally do, convened a technical  
5 expert panel. Looking at the literature, we've  
6 identified areas that we think would be helpful  
7 for everyone. I am pleased to say that -- that I  
8 had a very helpful conversation this morning with  
9 Dr. Carla Cuthbert at the CDC, who is also going  
10 to be helping us around describing the different  
11 screening technologies.

12           So, we have developed a -- a standard  
13 template for moving forward in terms of  
14 describing what the particular technology is,  
15 what its application is related to newborn  
16 screening, issues of, if it's a screening test,  
17 screening accuracy versus other outcomes, and  
18 that's all -- you know, you can classify it under  
19 benefits. And then, we have a harms and risks  
20 category related to potential unintended  
21 outcomes, false positives, that kind of thing,  
22 costs, and that -- that -- resources needed,

OLENDER REPORTING, INC.

1100 Connecticut Avenue NW, #810, Washington, DC 20036

Washington: 202-898-1108 • Baltimore: 410-752-3376

Toll Free: 888-445-3376

1 where we can find that -- special considerations  
2 around regulatory issues, FDA approval or that  
3 kind of thing, and key references.

4           So, let me just, in the -- just -- just  
5 to help, I'm going to advance a few slides. Can  
6 you go to the next slide? And the next slide?  
7 I'm just trying to make sure we get out by 3:00.  
8 I don't want to get any -- get in trouble. Next  
9 slide. Next slide. Next slide. And, again, if  
10 you look in the -- if you look in the briefing  
11 book, we have a -- a -- a list of some of the  
12 issues that we're going to be addressing,  
13 although it's -- it's growing from there, and  
14 you'll see that in the next report. This is a  
15 list of the general template that -- that I'd  
16 spoken about before.

17           Next slide. And I'm not sure how well  
18 this broadcasts on your screen, but this just  
19 gives you an -- a -- a -- a sense of what the  
20 template will look like. And, again, we'll be  
21 cross-linking to the various technology reports,  
22 you know, as -- as they populate in our little --

1 again, I'm thinking of it as, like, a Wikipedia  
2 kind of thing.

3           So, let me -- Joe, maybe I'll go ahead  
4 and -- and stop here to see if anybody has any  
5 particular questions about where this particular  
6 train is going or if they have any advice about  
7 what we're doing.

8           DR. JOSEPH BOCCHINI: All right. This is  
9 open for questions, comments. Thank you, Alex.

10           Mei Baker.

11           DR. MEI BAKER: Okay. Yeah, just quick,  
12 I think it's a wonderful thing to do. One of the  
13 things I think that will become so helpful, and I  
14 do see your template here, reduce the cost. This  
15 is just so hard for me to grasp. Reduce the cost  
16 compared with what? So, if you can say, this  
17 specific technology, it costs how much -- just  
18 putting in there. So, that, I think, will be  
19 more useful.

20           DR. ALEX KEMPER: Yeah, I -- we will try  
21 to put in costs where we can find it. What --  
22 what I've learned from starting to look at things

1 is that cost data are hard to come by in -- in  
2 figuring out what's actually included in the  
3 cost. The good news is, I'm going to ask -- this  
4 -- this may be good news for me but not good news  
5 for him -- ask Scott Grosse, who's our resident  
6 expert on this kind of thing, to weigh in on  
7 things.

8 I should have also mentioned, when we  
9 were doing the Long-Term Follow-Up report, that -  
10 - that Scott's been -- was very helpful in that  
11 process, as well. But getting back to the issue  
12 of cost -- To the degree that we can find it and  
13 to the degree that it's valid and reliable, we'll  
14 put it in.

15 DR. JOSEPH BOCCHINI: Thank you.

16 DR. CARLA CUTHBERT: This is Carla --

17 DR. JOSEPH BOCCHINI: Yes, go ahead.

18 DR. CARLA CUTHBERT: I know that time is  
19 of issue here, but I just wanted to reiterate  
20 that APHL and CDC do provide week-long, hands-on  
21 training courses for various technologies in  
22 newborn screening. So, we will be very happy to

1 leverage our experience and the information that  
2 we have from those courses to be able to provide  
3 information that would be relevant to the -- to  
4 the -- the general public, I guess, but to the  
5 Committee, specifically, as it -- as it relates  
6 to the technology and the screening technology.

7 DR. JOSEPH BOCCHINI: Thank you, Carla.  
8 Are there additional questions, comments?

9 (No audible response)

10 DR. JOSEPH BOCCHINI: All right. Well,  
11 we look forward to your continuing work in this  
12 area, Alex, and the fleshed-out document. So,  
13 thank you.

14 DR. ALEX KEMPER: Thank you.

15 DR. JOSEPH BOCCHINI: Are there any -- is  
16 there any new business that any of the Committee  
17 members wish to bring forward at the present  
18 time?

19 (No audible response)

20 DR. JOSEPH BOCCHINI: Hearing none, I  
21 want to thank everybody for their participation  
22 today. I think we've had a really good meeting,

1 and there's -- from the input that we've had, I  
2 want to thank the organizational representatives,  
3 as well as everybody who works on the individual  
4 workgroups, because it's clear that a significant  
5 amount of effort is being made to move projects  
6 forward and to -- to bring information to the  
7 Committee to inform its work.

8           So, in summary, many of you are going to  
9 be hearing from the Committee soon about either  
10 serving on the Steering Committee or being a  
11 participant in the upcoming review of our  
12 nomination and evidence review processes, as well  
13 as our decision-making process, as well, and --  
14 and then, I think, based on the conversations  
15 today, we do have a number of items that we will  
16 be thinking about how to schedule, going forward,  
17 to be on subsequent meetings to then move those  
18 topics forward.

19           I want to remind everybody that the next  
20 meeting will be in -- in Rockville on November  
21 1st and 2nd, and that'll be in person, as well as  
22 webcast.

1           So, again, I want to thank everybody for  
2 their participation today and thank HRSA for  
3 doing all the homework to make this happen. We  
4 apologize for the issues related to the videos,  
5 but we are going to make them all available to  
6 all of the participants, so that they can be  
7 viewed in their entirety at your home. And then,  
8 we'll look forward to seeing you all in November.

9           So, thank you. That'll conclude the  
10 meeting.

11           (Whereupon, the above-entitled matter was  
12 concluded at 3:00 p.m.)