

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22

The Advisory Committee on  
Heritable Disorders in Newborns and Children  
U.S. Department of Health and Human Services

Virtual Meeting

Day 1

Thursday, February 10, 2022

10:00 a.m.

Attended Via Webinar

1

2

**COMMITTEE MEMBERS**

3

4 **Kyle Brothers, MD, PhD**

5 Endowed Chair of Pediatric Clinical and Translational

6 Research

7 Associate Professor of Pediatrics University of Louisville

8 School of Medicine

9

10 **Jane DeLuca, PhD, RN**

11 Associate Professor

12 Clemson University School of Nursing

13

14 **Jennifer M. Kwon, MD, MPH, FAAN**

15 Director, Pediatric Neuromuscular Program

16 American Family Children's Hospital

17 Professor of Child Neurology, University of

18 Wisconsin School of Medicine & Public Health

19

20 **Shawn E. McCandless, MD**

21 Professor, Department of Pediatrics

22 Head, Section of Genetics and Metabolism

1 University of Colorado Anschutz Medical Campus, Children's  
2 Hospital Colorado

3

4 **Chanika Phornphutkul, MD, FACMG**

5 Professor of Pediatrics and Pathology and Laboratory

6 Medicine and Genetics

7 Director, Division of Human Genetics

8 Department of Pediatrics

9 Brown University, Hasbro Children's Hospital/ Rhode Island

10 Hospital

11

12 **Cynthia M. Powell, MD, FACMG, FAAP (Chairperson)**

13 Professor of Pediatrics and Genetics

14 Director, Medical Genetics Residency

15 Program Pediatric Genetics and Metabolism

16 The University of North Carolina at Chapel Hill

17

18 **Scott Shone, PhD, HCLD (ABB)**

19 Director

20 North Carolina State Laboratory of Public Health

21

22

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23

**Ex-Officio Members**

**Agency for Healthcare Research & Quality**

Kamila B. Mistry, PhD, MPH  
Senior Advisor, Child Health and Quality Improvement

**Centers for Disease Control & Prevention**

Carla Cuthbert, PhD  
Chief, Newborn Screening and Molecular Biology Branch  
Division of Laboratory Sciences, National Center for  
Environmental Health

**Food & Drug Administration**

Kellie B. Kelm, PhD  
Director, Division of Chemistry and Toxicology Devices

**Health Resources & Services Administration**

Michael Warren, MD, MPH, FAAP  
Associate Administrator, Maternal and Child Health Bureau

**National Institutes of Health**

Melissa Parisi, MD, PhD  
Chief, Intellectual and Developmental Disabilities Branch

1 Eunice Kennedy Shriver National

2 Institute of Child Health and Human Development

3

4 **Designated Federal Official**

5 Mia Morrison, MPH

6 Genetic Services Branch

7 Maternal and Child Health Bureau

8 Health Resources and Services Administration

9

10 **Organizational Representatives**

11

12 **American Academy of Family Physicians**

13 Robert Ostrander, MD

14 Valley View Family Practice

15

16 **American Academy of Pediatrics**

17 Debra Freedenberg, MD, PhD, FACMG, FAAP

18 Medical Director

19 Newborn Screening and Genetics

20 Texas Department of State Health Services

21

22

23

1 **American College of Medical Genetics & Genomics**

2 Maximilian Muenke, MD, FACMG

3 Chief Executive Officer

4 Maryland Department of Health Maternal and Child Health

5 Bureau

6

7 **American College of Obstetricians & Gynecologists**

8 Steven J. Ralston, MD, MPH

9 Chair, OB/GYN, Pennsylvania Hospital

10

11 **Association of Maternal and Child Health Programs**

12 Sabra Anckner, RN, MSN

13 Associate Director, Clinical and Community Collaboration

14

15 **Association of Public Health Laboratories**

16 Susan M. Tanksley, PhD

17 Manager, Laboratory Operations Unit

18 Texas Department of State Health Services

19

20

21

1 **Association of Women's Health Obstetric and Neonatal**

2 **Nurses**

3 Shakira Henderson, PhD, DNP, MS, MPH, RNCHIC, IBCLC

4 Vice President, Research Officer University of North

5 Carolina Health

6 Board Director, Association of Women's Health, Obstetric &

7 Neonatal Nurses

8

9 **Department of Defense**

10 Jacob Hogue, MD

11 Lieutenant Colonel, Medical Corps, US Army Chief,

12 Genetics, Madigan Army Medical Center

13

14 **Genetic Alliance**

15 Natasha F. Bonhomme

16 Vice President of Strategic Development

17

18 **March of Dimes**

19 Siobhan Dolan, MD, MPH

20 Professor and Vice Chair for Research

21 Department of Obstetrics & Gynecology and Women's Health

1 Albert Einstein College of Medicine and Montefiore Medical  
2 Center

3

4 **National Society of Genetic Counselors**

5 Cate Walsh Vockley, MS, CGC

6 Senior Genetic Counselor Division of Medical Genetics

7 UPMC Children's Hospital of Pittsburgh

8

9 **Society of Inherited Metabolic Disorders**

10 Gerard Berry, MD, FFACMG

11 Director, Metabolism Program

12 Harvey Levy Chair in Metabolism

13 Professor of Pediatrics



1

2 C O N T E N T S

3 COMMITTEE MEMBERS ..... 2

4 Ex-Officio Members ..... 4

5 Organizational Representatives ..... 5

6 WELCOME AND ROLL CALL ..... 11

7 OVERVIEW OF NEW ACHDNC RESOURCES ..... 26

8 PUBLIC COMMENT ..... 32

9 NEWBORN SCREENING FOR MUCOPOLYSACCHARIDOSIS TYPE II (MPS II) :

10 A SYSTEMATIC REVIEW OF THE EVIDENCE (PART 1) ..... 60

11 BREAK ..... 106

12 NEWBORN SCREENING FOR MUCOPOLYSACCHARIDOSIS TYPE II (MPS

13 II) : A SYSTEMATIC REVIEW OF THE EVIDENCE (PART 2) ..... 106

14 COMMITTEE REPORT: NEWBORN SCREENING FOR MPS II ..... 160

15 COMMITTEE DISCUSSION ..... 183

1	VOTE ON WHETHER OR NOT TO RECOMMEND MPS II FOR INCLUSION ON	
2	THE RECOMMENDED UNIFORM SCREENING PANEL .....	201
3	ADJOURNMENT .....	206
4		

1 P R O C E E D I N G S

2

3 **WELCOME AND ROLL CALL**

4 [recording started a few minutes late, began during  
5 roll call]

6 CYNTHIA POWELL: New Committee member Chanika  
7 Phornphutkul.

8 CHANIKA PHORNPHTUKUL: Here

9 CYNTHIA POWELL: And Cynthia Powell, I'm here.  
10 And Scott Shone.

11 SCOTT SHONE: Here.

12 CYNTHIA POWELL: Next, we'll take the roll for  
13 our organizational representatives from the American  
14 Academy of Family Physicians, Robert Ostrander.

15 ROBERT OSTRANDER: Present.

16 CYNTHIA POWELL: From the American Academy of  
17 Pediatrics, Debra Freedenberg.

18 From the American College of Medical Genetics  
19 and Genomics, Maximilian Muenke

20 MAXIMILIAN MUENKE: Here.

21 CYNTHIA POWELL: From the American College of  
22 Obstetricians and Gynecologist, Steven Ralston.

1 STEVEN RALSTON: Morning, I'm here.

2 CYNTHIA POWELL: From the Association of Maternal  
3 of Child Health Programs, Sabra Anckner,

4 SABRA ANCKNER: Here.

5 CYNTHIA POWELL: From the Association of Public  
6 Health Laboratories, Susan Tanksley.

7 SUSAN TANKSLEY: I'm here.

8 CYNTHIA POWELL: Is there anyone from the  
9 Association of State and Territorial Health Officials  
10 present today? We will have a new representative from  
11 them, hopefully by the next meeting.

12 We will not have Shakira Henderson AWHONN Group  
13 joining us today. And -- I'm sorry, was there -- did I  
14 miss someone?

15 DEBRA FREEDENBERG: Hi, this is Debbie  
16 Freedenberg.

17 CYNTHIA POWELL: Okay, hi, Deb. Thank you.

18 And we will also be having a new representative  
19 from the Child Neurology Society hopefully by the time of  
20 our next meeting.

21 From the Department of Defense, Jacob Hogue.

22 JACOB HOGUE: Here.

1 CYNTHIA POWELL: From Genetic Alliance, Natasha  
2 Bonhomme.

3 NATASHA BONHOMME: Here.

4 CYNTHIA POWELL: From the March of Dimes, Siobhan  
5 Dolan.

6 SIOBHAN DOLAN: Here.

7 CYNTHIA POWELL: From the National Society of  
8 Genetic Counselors, Cate Walsh Vockley.

9 CATE WALSH VOCKLEY: Here.

10 CYNTHIA POWELL: And from the Society for  
11 Inherited Metabolic Disorders, Gerard Berry.

12 GERARD BERRY: (No audible response.)

13 CYNTHIA POWELL: I see Dr. Berry.

14 GERARD BERRY: Oh, sorry, Cynthia, here.

15 CYNTHIA POWELL: That's okay. Thank you. Okay,  
16 anyone who's on who wasn't present when I called the roll?

17 MICHAEL WARREN: Dr. Powell, this is Michael  
18 Warren. I am on.

19 CYNTHIA POWELL: Okay, thank you, Dr. Warren.

20 All right. I'm now going to turn things over to  
21 our designated federal official, Mia Morrison.

1 MIA MORRISON: Thank you, Dr. Powell. I will now  
2 go over a few standard reminders for the Committee. As a  
3 Committee, we are advisory to the Secretary of Health and  
4 Human Services, not the Congress. For anyone associated  
5 with the Committee or due to your membership on the  
6 Committee, if you receive inquiries about ACHDNC, please  
7 let Dr. Powell and I know prior to committing to the  
8 interview or presentation.

9 I also must remind Committee members that you  
10 must recuse yourself from participation in all particular  
11 matters likely to affect the financial interests of any  
12 organization with which you serve as an officer, director,  
13 trustee, or general partner unless you are also an  
14 employee of the organization or unless you have received a  
15 waiver from HHS authorizing you to participate.

16 As is the case today, when a vote is scheduled  
17 or an activity is proposed and you have a question about a  
18 potential conflict of interest, please notify me  
19 immediately. Next slide, please.

20 According to FACA, all Committee meetings are  
21 open to the public. If the public wishes to participate  
22 in the discussions, the procedures for doing so are

1 published in the Federal Register, and/or are announced at  
2 the opening of the meeting.

3 For this particular meeting, there is no chat  
4 feature. However, in the Federal Register notice, we said  
5 that there would be a public comment area. Only with the  
6 advanced approval of the Chair or DFO may public  
7 participants question Committee members or other  
8 presenters.

9 Public participants may submit written  
10 statements. Also, public participants should be advised  
11 that the Committee members are given copies of all written  
12 statements submitted by the public. As a reminder, and as  
13 stated in the registration website, that all written  
14 public comments are part of the official meeting record  
15 and are shared with the Committee members.

16 Any further public participation will be solely  
17 at the discretion of the Chair and the DFO. If there are  
18 no questions, I'll turn it back over to Dr. Powell.

19 CYNTHIA POWELL: Thank you, Mia. Can I have the  
20 next slide, please? Today I'm pleased to introduce two  
21 new Committee members, Dr. Jennifer Kwon and Dr. Chanika  
22 Phornphutkul. Dr. Jennifer Kwon is an academic

1 neurologist with a strong interest in improving long-term  
2 clinical outcomes in children diagnosed with rare  
3 disorders identified by newborn screening. She trained in  
4 pediatric neurology and neuromuscular disorders at  
5 Washington University School of Medicine and St. Louis  
6 Children's Hospital. She then was on faculty at the  
7 University of Rochester Medical Center where she developed  
8 an interest in newborn screening and the role of child  
9 neurology in the care of infants identified by newborn  
10 screening programs.

11 She is now at the University of Wisconsin, where  
12 she is the Director of the Pediatric Neuromuscular Program  
13 at the American Family Children's Hospital.

14 Dr. Chanika Phornphutkul is a Professor of  
15 Pediatrics Pathology and Laboratory Medicine at Brown  
16 University. She is the chief of the Division of Human  
17 Genetics and Director of Genetics and Metabolism Clinic at  
18 Rhode Island Hasbro Children's Hospital and is Board  
19 certified via the American Board of Medical Genetics and  
20 Genomics. Dr. Phornphutkul completed her pediatric  
21 residency and pediatric endocrinology fellowship at Hasbro  
22 Children's Hospital, Brown University, and did a clinical



1 biochemical genetics fellowship at the National Human  
2 Genome Research Institute.

3 Dr. Phornphutkul has been a member of the  
4 newborn screening task force advising the Rhode Island  
5 Department of Health since 2002 and has been the Chair of  
6 that Committee since 2021.

7 Dr. Kwon and Dr. Phornphutkul, welcome, we are  
8 very excited to have you on board. Next slide, please.

9 Within the next few weeks, there will be two  
10 Committee announcements posted in the federal register  
11 that I want you to be aware of. The first is a call for  
12 nominations for voting membership on the Committee.  
13 Committee membership requirements are outlined in the  
14 Newborn Screening Saves Lives Act. They are that an  
15 individual has medical, technical, or scientific  
16 experience with special expertise in the field of  
17 heritable disorders or in providing screening, counseling,  
18 testing, or specialty services for newborns and children  
19 with or at risk for having heritable disorders.

20 Also, individuals who have expertise in ethics,  
21 infectious disease and who have worked and published  
22 material in newborn screening, and members of the public

1 having demonstrated expertise or having a lived  
2 experience.

3 To nominate someone else or yourself you must  
4 submit the following materials to the ACHDNC at the  
5 HRSA.gov website. A statement that includes the name and  
6 affiliation of the nominee and a clear statement regarding  
7 the basis for nomination, including their areas of  
8 expertise in newborn screening. Also, that there's  
9 confirmation that the nominee is willing to serve as a  
10 member of the Committee, their contact information, and a  
11 current CV.

12 The second announcement in the federal register  
13 will be a solicitation for new organizations to send  
14 representatives to the Committee. Selections for new  
15 organizations will be based on a review of the  
16 organization's subject area of expertise, mission,  
17 relevancy of their work to newborn screening and benefit  
18 provided relative to the Committee's purpose.

19 Going on to condition nomination updates. In  
20 July 2021, HRSA received a nomination package for Krabbe  
21 disease also known as globoid cell leukodystrophy. Krabbe  
22 disease is both a leukodystrophy and a lysosomal storage

1 disorder and was first nominated to the Advisory Committee  
2 in 2007.

3           It went through an evidence-based review.  
4 However, in 2009 the Committee voted to not recommend the  
5 addition to the Recommended Uniform Screen Panel.

6           The nomination and prioritization workgroup are  
7 reviewing the nomination package for Krabbe disease and  
8 will keep both the nominators and the rest of the  
9 Committee informed of the next steps.

10           As I mentioned at the November Advisory  
11 Committee meeting in October of 2021, the National CMV  
12 Foundation submitted a RUSP nomination package for  
13 congenital cytomegalovirus. The Nomination and  
14 Prioritization Workgroup are currently in the process of  
15 reviewing the nomination package for CCMV. I will  
16 continue to update the Committee on the status of this  
17 package. Next slide, please.

18           Next, I wanted to talk about updates to the  
19 ACHDNC processes. At the November 2021 meeting, the  
20 Advisory Committee approved updates to the condition  
21 nomination form. For groups that are in the process of  
22 developing a condition nomination package, please use the

1 version of the nomination form on the Committee's website.  
2 As a reminder, if you are working on a nomination package  
3 and would like technical assistance, both Mia and I are  
4 available.

5 I would also like to highlight that throughout  
6 the Committee's review of its nomination evidenced base  
7 review and decision-making processes, the Committee  
8 received several public comments addressing the potential  
9 for the number of condition nominations to outpace the  
10 Committee's capacity to review those nominations.

11 At the August 2021 meeting, the Committee also  
12 considered issues concerning this potential situation. I  
13 have carefully reviewed the information we have received  
14 from the Committee and other newborn screening  
15 stakeholders and have identified this as an area of focus  
16 for the coming year. Tomorrow, I will facilitate an  
17 initial discussion with the Committee to think about ways  
18 to approach a potential increase in nominations. Next  
19 slide, please.

20 Thank you, Committee members and organizational  
21 representatives for reviewing the 2021 November meeting

1 summary. Are there any other corrections to the meeting  
2 summary before we vote?

3 Is there a motion to vote on whether or not to  
4 approve the November 2021 ACHDNC meeting summary?

5 KYLE BROTHERS: This is Kyle Brothers. I so  
6 move.

7 CYNTHIA POWELL: Thank you. Is there a second?

8 SHAWN MCCANDLESS: Shawn McCandless, I second.  
9 You can have the next one, Scott.

10 CYNTHIA POWELL: Thank you. Is there any  
11 discussion of the motion?

12 Committee members, when I call your name, please  
13 state yes if you are in favor of approving the November  
14 meeting summary, no, if you are not in favor of approving  
15 the summary, or you may also abstain. And we won't ask  
16 our new Committee members to vote on the minutes, I  
17 believe, is that correct, Mia?

18 MIA MORRISON: That's correct, they may abstain  
19 from the vote.

20 CYNTHIA POWELL: All right, thank you. All  
21 right, first, Kyle Brothers.

22 KYLE BROTHERS: Yes.

1 CYNTHIA POWELL: Carla Cuthbert.

2 CARLA CUTHBERT: Yes.

3 CYNTHIA POWELL: Jane DeLuca.

4 JANE DELUCA: Approve.

5 CYNTHIA POWELL: Kellie Kelm.

6 KELLIE KELM: Approve.

7 CYNTHIA POWELL: Jennifer Kwon.

8 JENNIFER KWON: Abstain.

9 CYNTHIA POWELL: Shawn McCandless.

10 SHAWN MCCANDLESS: Yes.

11 CYNTHIA POWELL: Kamila Mistry.

12 KAMILA MISTRY: (No audible response.)

13 CYNTHIA POWELL: Melissa Parisi.

14 MELISSA PARISI: Yes.

15 CYNTHIA POWELL: Chanika Phornphutkul.

16 CHANIKA PHORNPHTKUL: Abstain.

17 CYNTHIA POWELL: Cynthia Powell, I vote yes.

18 Scott Shone.

19 SCOTT SHONE: Approve.

20 CYNTHIA POWELL: And Michael Warren.

21 MICHAEL WARREN: Approve.

1                   CYNTHIA POWELL: Thank you. The November 2021  
2 ACHDNC meeting summary has been approved. Thank you,  
3 Committee members. May I have the next slide, please.  
4 So, for meeting topics of the ACHDNC, we'll meet today and  
5 tomorrow, February 11th. Here are the meeting topics for  
6 today. First, I will provide an overview of the  
7 Committee's new consumer-friendly resources explaining the  
8 condition nomination, evidence-based review, and decision-  
9 making processes.

10                   Next, we will have the first public comment  
11 session of the meeting where we will hear from individuals  
12 who registered to provide public comment on the Committee  
13 vote on MPS II. Oral comments will be delivered by Dr.  
14 Joseph Muenzer, Avram Joseph, Kim Stevens, Dr. Barbara  
15 Burton, Amy Cherstrom, Nicholas DiTommaso, Dr. Matthew  
16 Ellinwood, and Mark Dant.

17                   Then the evidence-based review group will  
18 provide an overview of the evidence-based review from  
19 Mucopolysaccharidosis Type II. Afterward, Committee  
20 liaisons to the evidence review group, Dr. Jane DeLuca and  
21 Dr. Shawn McCandless will present the Committee report on  
22 Newborn Screening for MPS II.

1                   At 2:15 the Committee is scheduled to begin the  
2   vote on whether or not to recommend MPS II for inclusion  
3   on the recommended uniform screening panel. We will end  
4   today at 3:00 p.m. Eastern time and reconvene tomorrow  
5   morning at 10:00 a.m. Next slide, please.

6                   Tomorrow, February 11th, the Committee will  
7   begin with a Phase 2 Evidence-Based Review update on  
8   Guanidinoacetate methyltransferase deficiency or GAMT.  
9   Then I will facilitate an initial discussion on the ACHDNC  
10   Condition Review Capacity. This will be followed by a  
11   second public comment period where we will hear from Megan  
12   Pesch on the nomination of congenital CMV to the RUSP;  
13   Heidi Wallis on the nomination of AMP deficiency to the  
14   RUSP; Dylan Simon from EveryLife Foundation for Rare  
15   Diseases; Beth Vannoy from Minutes Matter, the MCADD; Mena  
16   Scavina from Parent Project Muscular Dystrophy.

17                   Our final session of the meeting will be a  
18   presentation on Health Equity in Newborn Screening. We  
19   will aim to adjourn the meeting at approximately 1:30 p.m.  
20   Eastern time.

21                   I'm now going to turn things back over to Mia.

22                   MIA MORRISON: Thank you. Next slide, please.



1           Members of the public, audio will come through  
2 your computer speakers, so please make sure to have your  
3 computer speakers turned on. If you can't access the  
4 audio through your computer, you may dial into the meeting  
5 using the telephone number and the email with your Zoom  
6 link.

7           As I mentioned previously, this meeting will not  
8 have an all-attendee chat feature, but we do have a public  
9 comment period scheduled later today. Committee members  
10 and organizational representatives, audio will come from  
11 your computer speakers, and you will be able to speak  
12 using your microphone. If you can't access the audio or  
13 microphone through your computer, you may dial into the  
14 meeting using the telephone number and the email with your  
15 user-specific Zoom link.

16           Please remember to speak clearly and state your  
17 first and last name to ensure proper recording for the  
18 Committee transcript and minutes. The Chair will call on  
19 Committee members and then organizational representatives.  
20 In order to better facilitate the discussion, we remind  
21 you to use the raise hand feature when you would like to  
22 make a comment or ask a question. Simply click on the

1 participant icon and choose raise hand. I note that  
2 depending on your device or operating system, this icon  
3 may be in a different location.

4 To troubleshoot, please consult the webinar  
5 instruction page in your briefing book. Next slide,  
6 please.

7 To enable closed captioning, please select the  
8 closed captioning icon from your Zoom taskbar in the menu  
9 and then select show subtitles from the menu that appears.  
10 Thank you.

11 CYNTHIA POWELL: Thank you, Mia. Can I have the  
12 next slide when we can get those up?

13 EMMA KELLY: I think that was the last slide.

14 CYNTHIA POWELL: We should have one on the new  
15 ACHDNC resources.

16 EMMA KELLY: Oh yeah, I'll get that up, just one  
17 moment.

#### 18 **OVERVIEW OF NEW ACHDNC RESOURCES**

19 CYNTHIA POWELL: Okay, great. For the first  
20 session of the meeting, I will renew the new consumer-  
21 friendly resources which I'm very pleased to say are now  
22 available on the Committee's website. Next slide, please.

1                   By way of reminder, in February 2019 the  
2 Committee began a process of reviewing its nomination  
3 evidence-based review and decision-making processes. A  
4 critical recommendation identified by Committee members,  
5 organizational representatives, and other newborn  
6 screening stakeholders was the need to develop new  
7 consumer-friendly resources explaining Committee  
8 processes. These materials were developed in consultation  
9 with members of the evidence review group and newborn  
10 screening experts, including previous nominators. I would  
11 like to especially thank Dr. Alex Kemper and Dr. K.K. Lam,  
12 who are instrumental in leading this work. Next slide,  
13 please.

14                   The new consumer-friendly resources are all  
15 available on the ACHDNC website. Some of the new  
16 information is embedded within the current nominate a  
17 condition page. There are also six new pages on the  
18 ACHDNC website that provide useful information on ACHDNC  
19 history and the nomination evidence-based review and  
20 decision-making processes.

21                   The new pages are as follows: Condition  
22 nomination review process, nominate a condition,

1 frequently asked questions, key questions considered by  
2 the Committee. Sample questions addressed in an evidence-  
3 based review. The Committee approach to evaluating the  
4 condition review report and the Advisory Committee history  
5 page. Next slide, please.

6           Now I'll briefly go over some of the content on  
7 these pages beginning with the nominate a condition page.  
8 This was a pre-existing page which has been updated with a  
9 new nomination form. On this page, you'll now find both a  
10 fillable PDF version and a standard PDF version of the  
11 form. From the nominate a condition page you can access  
12 all of the new resources as you see circled on the slide,  
13 explaining the nomination, evidence-based review, and  
14 decision-making processes. Next slide, please.

15           On the condition nomination review process page,  
16 there is an easy-to-follow downloadable graphic depicting  
17 the nomination and review process, including when the  
18 Committee votes and at what point a condition nomination  
19 package might be moved forward or be returned to the  
20 nominators. This page includes drop-down text explaining  
21 each part of the process. Next slide.

1                   The nominate a condition FAQ page is a valuable  
2 resource for groups developing a nomination package or for  
3 those who are interested more in learning about how a  
4 condition is added to the RUSP. Examples of questions  
5 include: how long does the process take to get a condition  
6 added to the RUSP? What happens if a nomination is not  
7 accepted or is deemed incomplete or not ready for  
8 Committee review? What are some tips for developing a  
9 nomination package and details on each section of the  
10 nomination form? Next slide, please.

11                   The key questions considered by the Committee  
12 page provides a concise overview of the information  
13 Committee members review in a nomination package. For  
14 example, in terms of case definition, are the conditions  
15 case definition and spectrum well described? Can they  
16 predict the phenotype of the range of symptoms in newborns  
17 and children who will be identified through population-  
18 based screening? And in terms of clinical utility, is the  
19 screening process clinically useful? Is it specific  
20 enough to find babies who have the condition, especially  
21 those most likely to benefit from treatment? Next slide,  
22 please.

1                   The sample questions addressed in an evidence-  
2 based review page gives examples and explanations of  
3 overarching topic areas addressed in an evidence-based  
4 review and the key questions addressed under each topic.  
5 For example, for benefits and harms of screening and  
6 diagnosis not related to treatment, the website explains  
7 that this topic area reviews benefits and harms resulting  
8 from newborn screening and early diagnosis. Key questions  
9 include exploring the harms of wrongly classifying a baby  
10 without the condition as high risk and the harms or  
11 benefits of diagnosing newborns found from newborn  
12 screening with the condition. Next slide, please.

13                   The last slide I will mention today is the  
14 Committee approach to evaluating the condition review  
15 report page. This page is based upon the decision matrix  
16 guidance approved by the Committee at the November 2021  
17 meeting and provides explanations of using the decision  
18 matrix to assign a rating to the nominated condition.  
19 Next slide, please.

20                   Members of the public, if you have any questions  
21 about these materials, please contact Mia Morrison or  
22 myself. I will now take questions or comments from

1 Committee members followed by organizational  
2 representatives. Please remember to use the raise hand  
3 feature, unmute yourself and state your name clearly for  
4 the record. And I'd also like to thank HRSA for adding  
5 all this information to the website and helping with the  
6 development of these new resources. All right, any  
7 questions or comments about this? Jennifer Kwon.

8 JENNIFER KWON: So, in Wisconsin we have a  
9 similar application process for new disorders and they do  
10 take seriously the question of what are -- I think it's  
11 easy for advocacy groups and disease families who have  
12 suffered from a disease to forget that newborn screening  
13 affects a much wider pool. And to somehow dismiss the  
14 potential harms of false positives or just the uncertainty  
15 that comes with the early screening process, so -- and I  
16 just realized as a new member, I already forgot to  
17 introduce myself. So, I'm Jennifer Kwon.

18 So, I just appreciate that that was one of the  
19 questions that you put in the harm section. I think  
20 that's really helpful, and I think it would be nice to  
21 really gather -- you know, I don't know how much work the  
22 Committee does in terms of pooling some of these responses

1 over time from applications that they receive, but I think  
2 it's interesting to see what people think of as harms to  
3 the greater community.

4 CYNTHIA POWELL: Yes. I know that there are  
5 people out there who are trying to do research in this  
6 area, but as you said, it's often very difficult to gather  
7 that information. Any other questions or comments? Any  
8 questions or comments from organizational representatives?

9 All right. Thank you, again, everyone who  
10 helped with the development of this information. And as I  
11 said, you know, if you have any comments or ideas, please  
12 contact me or Mia Morrison.

13

14

**PUBLIC COMMENT**

15 CYNTHIA POWELL: All right, let's see, we'll go  
16 to our public comment. As I mentioned in my opening  
17 remarks, at the February meeting we will have two public  
18 comment periods. Today we'll hear from members of the  
19 public that registered to provide oral comments on MPS II.  
20 We also received five written versions of the oral  
21 testimony that we will hear today and a letter of support  
22 for recommending MPS II to the RUSP, which included



1 hundreds of signatures, and the Committee members received  
2 these documents in advance of the meeting.

3 I think we're ready for our first public  
4 comment, Dr. Joseph Muenzer.

5 JOSEPH MUENZER: Good morning, thank you, Dr.  
6 Powell, for the introduction allowing me to speak. I'm a  
7 pediatric chemical geneticist. I'm a Professor of  
8 Pediatrics and Genetics as a Bryson Distinguished  
9 Professor in Pediatric Genetics of the University of North  
10 Carolina, Chapel Hill. I am trained as a pediatric  
11 biochemical geneticist and have greater than 35 years of  
12 experience in the diagnosis, management, and treatment of  
13 patients with Mucopolysaccharidosis. In my career I've  
14 cared for more than 150 patients with Hunter Syndrome or  
15 MPS II.

16 I strongly support the addition of the MPS II to  
17 the RUSP for the following reasons: MPS II is a rare,  
18 progressive lysosomal storage disorder due to the  
19 deficiency of enzyme iduronate-2-sulfatase resulting in  
20 multi-system somatic involvement and a range of clinical  
21 severity from cognitive impairment to normal intellect  
22 with premature death, unfortunately, in most patients.

1                   Onset of clinical symptoms typically occur  
2 between one to two years of age. A diagnosis is delayed  
3 until two to four years of age or later in severe  
4 patients, and sometimes even later in attenuated patients.  
5 Typically, MPS II patients are not diagnosed until after  
6 significant clinical disease is present secondary to the  
7 rarity to this order and the variable progression of  
8 clinical disease.

9                   The biochemical diagnosis is readily available  
10 with measurement of urine glycosaminoglycans levels and  
11 iduronate-2-sulfatase activity with confirmation by DNA  
12 analysis. It is currently available in the form of weekly  
13 IV enzyme replacement therapy with iduronate sulfatase  
14 that is beneficial in preventing disease progression but  
15 does not reverse clinical disease. My clinical experience  
16 supports the concept that much of the clinical disease is  
17 more irreversible than we initially appreciated. The  
18 valvular heart disease is a good example. The progressive  
19 storage of glycosaminoglycans in heart valves result in  
20 fibrosis which will not respond to ERT and more likely to  
21 progress.

1           It is important to acknowledge that current  
2 iduronate sulfatase does not directly impact the brain  
3 disease since the enzyme does not cross the blood-brain  
4 barrier in any significant amount. However, somatic  
5 disease is present in all MPS II patients and IVRT can  
6 improve the outcome since both airway and cardiac disease  
7 are major cause of death for individuals with MPS II.

8           A major consideration for adding a disorder to  
9 the RUSP is a need to demonstrate that early intervention  
10 is beneficial and that waiting to start treatment until a  
11 clinical diagnosis is made is detrimental. It's important  
12 to note that except for sibling studies, the lack of  
13 newborn screening for MPS II makes it very difficult to  
14 demonstrate that early intervention is beneficial because  
15 of the rarity of the disorder and the significant  
16 irreversible disease in patients when diagnosed late. My  
17 clinical experience with multiple pairs or preos of MPS II  
18 siblings have been that the younger an MPS patient is  
19 started on ERT, the better the outcome. The MPS II  
20 clinical diagnosis typically only occurs when significant  
21 clinical features are present such as coarse facial

1 features, restricted joint range of motion and noisy  
2 breathing.

3           When treatment is started before the onset of  
4 significant disease in a younger MPS II sibling, typically  
5 less than one year of age, the outcome is dramatically  
6 different with the younger earlier treated sibling  
7 appearing like a normal unaffected child, when at the same  
8 age that the older sibling was diagnosed with MPS II.

9 Even in the severe and neuropathic phenotype, the younger  
10 treated sibling shows better early developmental progress,  
11 most likely secondary to improve somatic disease and  
12 overall better health.

13           My clinical experience on the early benefits of  
14 treatment for MPS II, also have been dramatically shown by  
15 presentations this week at the World Symposium, of  
16 lysosomal storage disorder meeting currently being held in  
17 San Diego. In earlier result, using brain directed  
18 therapies for MPS II, either interfecal gene therapy or IV  
19 administered enzyme modified to cross the blood-brain  
20 barrier. The youngest treated patients typically less  
21 than one to two years of age have consistently the best  
22 outcomes.

1           In summary, newborn screening is needed for MPS  
2    II to improve their quality of life and outcomes for the  
3    following reasons: One, MPS II is a rare disorder and not  
4    typically diagnosed until significant somatic disease  
5    occurs between two and four years of age. Two, an  
6    improved treatment is available that can prevent somatic  
7    disease progression but cannot reverse clinical disease.  
8    And three, sibling studies strongly support that early  
9    intervention prior to onset of significant clinical  
10   disease dramatically improves outcome.

11           Based on the evidence presented to the Committee  
12   and my clinical experiences, I strongly support the  
13   addition of MPS II to the RUSP. Thank you for your  
14   attention.

15           CYNTHIA POWELL: Thank you, Dr. Muenzer. We'll  
16   next hear from Avram Joseph.

17           AVRAM JOSEPH: Hello. My name is Avram Joseph,  
18   Vice-President of the MPS Superhero Foundation. I'm the  
19   father of and an advocate for someone who has MPS II. One  
20   of nearly 165,000 born boys, one of nearly 400 in the  
21   U.S., one of seven diagnoses that were misdiagnosed.

1           In 2013 my son, Kalal was born. At birth, Kalal  
2 was screened for genetic endocrine hemoglobinopathy,  
3 immunology and other metabolic conditions. I was told  
4 that the criteria for this mandatory newborn screening is  
5 that one, it must be a valid screening process. Two, must  
6 be deemed a serious condition. Three, must have an FDA  
7 approved drug that can be beneficial with early  
8 intervention.

9           Nearly 165 weeks later, in 2016, after constant  
10 battles with doctors trying to understand my son's  
11 underlying issues, Kalal was diagnosed with a severe form  
12 of a genetic metabolic condition called MPS II. This  
13 disease is known to steal a child's ability to walk, talk  
14 and eat independently by the age of ten. Worst of all,  
15 this condition is known to steal the lives of these  
16 precious children by the early teenage years. My son was  
17 born with MPS II. A condition that with early  
18 intervention of enzyme replacement therapy can help give  
19 him better quality of life. A drug that is FDA approved  
20 and administered on a weekly basis, weekly. Kalal missed  
21 nearly 165 infusions of medicine that could have

1 potentially slowed down the dreadful takeover my son has  
2 to experience from MSP II.

3 Your decision today will define someone else's  
4 tomorrow. Although mandatory newborn screening for MPS II  
5 is too late for Kalel, it's not too late for the  
6 generations to come. Thank you for your time.

7 CYNTHIA POWELL: Thank you, Mr. Joseph. We'll  
8 next hear from Kim Stevens.

9 EMMA KELLY: There is a slightly problem with her  
10 channel now, so she should be able to talk now.

11 CYNTHIA POWELL: Hi, Ms. Stevens. You can go  
12 ahead.

13 KIM STEVENS: Yes, sorry about that.

14 CYNTHIA POWELL: It's okay.

15 KIM STEVENS: Good morning. My name is Kim  
16 Stevens and my son, Cole, was diagnosed with MPS II when  
17 he was just two-and-a-half. At the time of the diagnosis,  
18 he had already had seven surgeries and met with five  
19 different specialists. None of them had picked up on his  
20 disease.

21 What I thought was a routine visit to the ear  
22 nose and throat doctor for Cole's fourth set of ear tubes,

1 the doctor took out a very large medical book and pointed  
2 to a boy in the book and he uttered those terrible words  
3 that no parent wants to hear, I think your son has a rare  
4 genetic disease. I was so angry with the doctor that day  
5 and even the world. As I look back with nine years of  
6 prospective, I realize that was the most important day in  
7 Kohl's life. We knew what we were facing, and some quick  
8 research showed that there was an enzyme replacement  
9 therapy to halt the progression of the disease.

10 Cole had a very late diagnosis, and a lot of  
11 damage had already been done to his body before he could  
12 start treatment. But today he is still running around,  
13 playing, and eating normally, and I'm grateful for the  
14 time the treatment has given Cole with us, our family, and  
15 the community. I do wonder what Cole's life would be like  
16 if he had been caught at newborn screening. Would he  
17 interact and play with his brother? Would most of the  
18 progression of the disease be stopped? Would he still  
19 have his words and be able to talk to me? The last word  
20 he said to me was "Mom." I wish I could hear him say that  
21 now.



1           I'll never know the answers to these questions,  
2 but I do know that it was pure serendipity that we saw an  
3 ENT that had seen this disease before. Thankfully, he  
4 recognized the disease in Cole and Cole got treatment at  
5 two-and-a-half. I know others aren't so lucky. They go  
6 on a longer diagnosis journey. And my hope for the future  
7 is that boys can be diagnosed at birth and then they can  
8 immediately seek treatment before the damage starts. We  
9 know this damage for the most part is irreversible but  
10 imagine treating boys so early that we never see a symptom  
11 of the disease. That's when we will truly see a cure.

12           You have the power to help us today. Thank you  
13 for your consideration.

14           CYNTHIA POWELL: Thank you, Ms. Stevens. We'll  
15 next hear from Dr. Barbara Burton.

16           BARBARA BURTON: Good morning. Thank you,  
17 Chairman Powell, and Committee members for giving me the  
18 opportunity to address the Committee this morning. I'm a  
19 Professor of Pediatrics at Northwestern University and  
20 have been a practicing clinical and biochemical geneticist  
21 for over 40 years. I would like to express my strong  
22 support for the addition of MPS II to the recommended

1 screening panel. This morning I'd like to focus my  
2 comments on the benefits I've observed through pre-  
3 symptomatic treatments of patients with MPS II with enzyme  
4 replacement therapy.

5           Since 2006, when ERT became available, I have  
6 treated seven sibling pairs in which the older brother was  
7 diagnosed clinically with MPS II, leading to the diagnosis  
8 in the younger sibling, either at the same time or after a  
9 subsequent birth. In every one of these sibling pairs, it  
10 was clear after several years of treatment that the burden  
11 of disease was significantly less in the younger sibling  
12 treated at an earlier age.

13           In three of these sibling pairs, treatment in  
14 the younger sibling was started within the first three  
15 months of life. And now at the ages of three, four and  
16 ten years, essentially all somatic disease has been  
17 prevented. None of these younger siblings have required  
18 any of the surgical procedures such as ear tubes,  
19 tonsillectomy, adenoidectomy, or spinal cord decompression  
20 that have been required in their older brothers. And none  
21 have any evidence of cardiac disease or joint restriction.  
22 None have coarse facial features. They are essentially

1 physically indistinguishable from normal, healthy  
2 children, although all three of these sibling pairs have  
3 the severe organopathic phenotype, all of the younger  
4 siblings have shown better developmental progress at each  
5 age as compared to their older brothers. Likely the  
6 result of improved general health and the reduced burden  
7 of disease.

8           As another example of the benefits of pre-  
9 symptomatic treatment, I also had the opportunity to care  
10 for two adult men with attenuated MPS II who were cousins  
11 and both in their late twenties when ERT became available.  
12 While they both received ERT, most of their disease  
13 manifestations were irreversible. One already had a  
14 tracheotomy and a cardiac pacemaker and died at the age of  
15 40 with respiratory failure. His cousin succumbed to the  
16 disease at the age of 36 years from post-operative  
17 complications following aortic valve replacement surgery,  
18 which was necessitated due to critical aortic stenosis.

19           A younger cousin of these two men was diagnosed  
20 shortly after birth in 2006 because of the family history  
21 and started on ERT a few months later when it became  
22 commercially available. I've cared for him throughout

1 this time. He's now 15 years of age and is a healthy,  
2 normal appearing young man of normal stature, normal  
3 height, normal physical exam. He has no evidence of  
4 cardiac disease, has normal pulmonary function studies,  
5 normal endurance and to most observers would appear to be  
6 a perfectly healthy, normal teenager. He's a great  
7 student and he's a high school football player. I have no  
8 doubt that his future will be entirely different than that  
9 of his other affected family members. I want that outcome  
10 for all children with MPS II, and I strongly believe that  
11 they all deserve the benefit of early treatment. Thank  
12 you.

13 CYNTHIA POWELL: Thank you, Dr. Burton. We'll  
14 next hear from Amy Cherstrom.

15 AMY CHERSTROM: Hi. Hi everyone. My name is Amy  
16 Cherstrom. I'm a mother of two children with MPS II. A  
17 little over 11 years ago my husband and I met Dr. Barbara  
18 Burton in an exam room in the Children's Memorial Hospital  
19 of Chicago. At that time, we were enjoying life as  
20 parents of two boys with another due in a few months. We  
21 were meeting with Dr. Burton after our son, Alex, had  
22 unexplained respiratory distress at birth, radiological

1 findings, chronic diarrhea, an enlarged head and was due  
2 to have an MRI to explain some of the abnormalities.

3           Alex also had a UPJ obstruction that distracted  
4 all of us from the underlying disease needing to be  
5 discovered. I resisted as Alex had been through so much  
6 and were already so tired of what seemed like problem,  
7 after problem, after problem. Our oldest son had been  
8 healthy and cruising through his young life without a  
9 hitch. Our pediatrician sent us to his colleague, who took  
10 measurements of our heads, reviewed all the symptoms, and  
11 referred us to Dr. Burton. We knew it wasn't good when he  
12 gave us his personal cell phone number and told us not to  
13 read anything online about what he thought Alex had,  
14 something called mucopolysaccharidoses.

15           My first question to Dr. Burton was, is there  
16 any hope? Everything I read is tragic and devastating.  
17 To that, Dr. Burton replied, you have to have hope. You  
18 are his mother and MPS II has a treatment. At two years  
19 old Alex was lucky that his diagnosis came relatively  
20 early, and he saved his brother's life, who was due two  
21 months later. Alex began enzyme replacement therapy as  
22 soon as his diagnosis was confirmed. At eight months

1 pregnant, I took an adorable little toddler with big blue  
2 eyes and sandy blond hair to the hospital weekly with his  
3 favorite fire truck videos and movies to entertain him  
4 during an eight-hour day at the hospital.

5           Along with his diagnosis came weekly treatments,  
6 but also surgeries, therapies, educational supports to  
7 help Alex address the damage that had been done by this  
8 disease in just two short years of his life.

9           Nick arrived on a snowy day in December, and we were  
10 so hopeful. He was a perfect little button with dark  
11 brown eyes. He looked like our oldest, Jack, who doesn't  
12 have MPS. Is it possible we could be so lucky that he  
13 escaped MPS II? There was a 50 percent chance, but  
14 everyone was ready in case he, too, would have this  
15 disease. He spent about an hour in recovery before going  
16 into respiratory distress at full term. This time we  
17 knew. Nick was diagnosed almost immediately, and testing  
18 confirmed his MPS diagnosis right away. As soon as we  
19 leave the NICU, we had that diagnosis.

20           Nick began enzyme replacement therapy at three  
21 months old and we were hopeful. He began to thrive and  
22 grow and to be everything we never knew we needed to

1 encourage us that life would be okay with MPS II. We  
2 could live with this disease and even beat it. This was  
3 an infusion of hope we so desperately needed.

4           Nicholas made friends, played baseball and golf,  
5 attends our local elementary school, and loves his  
6 grandma. He calls her almost daily. While Alex  
7 communicates with gestures and an SUD and attends a  
8 specialized school for children with autism, each child  
9 has one-to-one educational instruction.

10           As innovation continued, Nick qualified for a  
11 clinical trial while Alex took eleven years to find a  
12 trial where he would qualify. These trials are life  
13 changing with each decision made by us as parents  
14 carefully curated to extend and improve these children's'  
15 lives. Please consider giving other children a chance  
16 through newborn screening to not only survive but thrive.  
17 Early access to treatments and therapies makes every  
18 difference in disrupting the disease, easing the burden on  
19 families, lessening the strain on systems providing  
20 services to those whose needs are greater due to illness  
21 such as MPS II.

1                   For my family and for those like us who will  
2 face a diagnosis of MPS II, please give them the best  
3 possible odds of a successful fulfilling life. We're so  
4 grateful for the opportunity to tell you or family's  
5 story. Thank you for your consideration.

6                   CYNTHIA POWELL: Thank you, Ms. Cherstrom. We  
7 will next hear from Nicholas DiTommaso.

8                   NICHOLAS DITOMMASO: Hi. My name is Nicholas  
9 DiTommaso. I was diagnosed with MPS II at the age of ten.  
10 I'm lucky to have an attenuated version of Hunter Syndrome  
11 which has given me the opportunity to be here to speak for  
12 all those who don't have the same advantage.

13                   Newborn screening would mean the world not only  
14 to those who are diagnosed later on in their childhood but  
15 to every patient in the United States. I went through a  
16 three-year diagnostic journey before I was diagnosed for  
17 something that could have been addressed at my birth. Not  
18 everyone who is impacted by MPS II is as fortunate as I  
19 have been and irreversible damage from the disease impacts  
20 all of us and our families. Even with the attenuated case  
21 of Hunter Syndrome the treatment has changed my life in  
22 many ways. I felt more energized and many of the



1 degrading affects that I'd seen rapid onset in my early  
2 childhood began to slow or stabilize entirely. Treatment  
3 means something different to every patient and their  
4 parents and their families, but the impacts of the  
5 treatment can't be understated. There are irreversible  
6 negative impacts to this disease that take hold for many  
7 young people before they display enough symptoms for  
8 diagnosis. Newborn screening would help by preventing  
9 these degenerative impacts and improve the quality of life  
10 for all future patients.

11           There are many other adults like me who have the  
12 opportunity to go out in the world and contribute because  
13 of enzyme replacement therapy. It's an invaluable  
14 resource that has changed the course of our lives. I was  
15 able to attend college and enter the workforce without  
16 many severe medical complications. This path to success  
17 would not have been possible without the treatment that I  
18 started receiving twelve years ago. Because of my  
19 treatments I was able to take on an enriching curriculum  
20 and find myself with a career in healthcare, which has  
21 been an interest my whole life.

1           We have spent countless hours and resources into  
2 producing this life altering treatment and it isn't being  
3 used to its full potential. The most valuable time to  
4 receive the treatment for me, myself, and many others was  
5 years before we did. I am lucky to be able to participate  
6 in advocacy that has brought us to this point and the  
7 benefits that we can capture are right in front of us.  
8 The resources that go into the diagnostic journey for  
9 myself and many other MPS II patients could be freed up  
10 with access to newborn screening. We've come so far in  
11 the past fifteen years when it comes to diagnosing and  
12 treating MPS II and now we can put that diagnosis and  
13 treatment squarely in the hands of the next generation of  
14 patients.

15           I want you all to take away that I am, but one  
16 person impacted by MPS II, and there are hundreds of other  
17 individuals out there, not only patients, but mothers,  
18 fathers, brothers, and sisters who don't have a chance to  
19 be here today. And early diagnosis would not only improve  
20 the lives of the children with these conditions, but of  
21 all the people who they share their lives with. I have  
22 met numerous amazing people with their own MPS II stories,

1 and I hope that we can realize what a great opportunity we  
2 have in front of us. Thank you.

3 CYNTHIA POWELL: Thank you, Mr. DiTommaso. Our  
4 next speaker will be Dr. Matthew Ellinwood.

5 MATTHEW ELLINWOOD: My name is Dr. Matthew  
6 Ellinwood, and I am Chief Scientific Officer at the  
7 National MPS Society. I have been active in MPS research  
8 and in the society for over two decades. I'm honored to  
9 speak to you today as I am honored to be the co-nominator  
10 of MPS II for inclusion on the RUSP and to have served on  
11 the TEP reviewing this nomination.

12 Early on in my career I met for the first time a  
13 senior scholar well known to the MPS clinical research and  
14 patient communities. He had a refrain that he used to  
15 open virtually every talk he gave. It was that our  
16 mission was to develop technology, to drive the discovery  
17 of both an effective therapy and a means of early  
18 diagnosis. Through the concerted efforts of industry and  
19 the biomedical public health and clinical research  
20 communities we have made the needed progress to fully  
21 accomplishing these goals.

1           The most important key now needed to unlock the  
2 full benefits to MPS children can only be provided by this  
3 Committee today. Indeed, yours is as critical a decision  
4 as it will be momentous to future MPS children, and I urge  
5 the Committee to accept this nomination and to forward  
6 your recommendation to the Secretary of Health and Human  
7 Services to add testing for MPS II to the Recommended  
8 Uniform Screening Panel.

9           I could further detail today the sophistication,  
10 flexibility, availability, economy and accuracy of our  
11 first and second tier testing modalities as well as the  
12 benefits of current treatment regimens and those that are  
13 on the horizon, including those that have the capacity to  
14 fundamentally change the outlook for neuropathic forms of  
15 Hunter's Syndrome, such as the two CNS treating therapies  
16 proved in Japan and the two currently in clinical trial,  
17 or the gene therapies and combined gene therapies and stem  
18 cell transplant therapies that are apodized to initiate  
19 clinical trials.

20           However, in addition to my role as the chief  
21 advocate for science at the society, I'm equally an  
22 advocate for all patients living with MPS and lysosomal

1 disorders and their families. You have heard from persons  
2 with MPS II in their families on the potential impact your  
3 decision today will have, but I wanted to spend a moment  
4 to detail just how committed our society and its allied  
5 communities are to supporting all of the newly diagnosed  
6 MPS II infants who will benefit from your approval of this  
7 nomination today. The community that has been developed  
8 around these diseases over nearly five decades is standing  
9 ready to help ensure that patients are well treated and  
10 well supported after their diagnosis.

11           As an example of the size and engagement of our  
12 community, I'd like to provide you with a highlight.  
13 Among the submitted written comments in support of this  
14 nomination, it's a letter the Society wrote. Two-and-a-  
15 half days before written comments were due, we solicited  
16 co-signers of this statement of support of the nomination.  
17 I think we would have been happy with 200 signatures. It  
18 became very clear after we had 200 signatures in under an  
19 hour of putting out our request, that we were going to  
20 garner many more. The total number as I finished my draft  
21 of these comments was at approximately 3,050 co-signers.  
22 This includes individuals from virtually every state in

1 the nation and those affected with these diseases, their  
2 families and extended families, friends, community  
3 members, researchers, industry partners, clinicians and  
4 members of the allied health professions all urging you to  
5 accept this nomination.

6           The Society stands ready to put all our  
7 resources possible toward the successful roll out of this  
8 newborn screening initiative. The Society's experience  
9 with pathways, our newly diagnosed outreach program with  
10 its dedicated staff member mean that we are ready to  
11 provide in person social support and outreach to newly  
12 diagnosed families.

13           In addition, there is an extraordinary community  
14 of support, not just from the Society, but from the wider  
15 communities of families and from our allied MPS II  
16 organizations. All are committed to support newly  
17 diagnosed families to ensure they understand all the  
18 nuances necessary to optimize the health of their newborn  
19 MPS II children.

20           Finally, as I conclude my comments, I want to  
21 put forward a very simple and, I think, accurate  
22 proposition. A child born with MPS II deserves to have

1 this diagnosis at birth. There is simply no reason not to  
2 do this. The fundamental basis of therapy for MPS  
3 disorders is grounded on prevention of clinical science,  
4 not their reversal. Waiting until clinical diagnosis is  
5 apparent is waiting too long. Irreversible damage will be  
6 done while we wait for clinical science to present  
7 themselves.

8 Approving this nomination is also an issue of  
9 equity. We have become mindful in recent years of the  
10 disparities of equity in this country around healthcare  
11 and access to it. Instituting newborn screening for MPS  
12 II ensures that every child born in a state where newborn  
13 screening includes MPS II has all of the opportunities to  
14 lead as normal and healthy a life as possible.

15 As I close my remarks, I'd like to express my  
16 admiration of all the professionals and dedicated staff  
17 who have been part of this submission and its evaluation.  
18 They have delved into a great deal of material, data,  
19 minutia and produced an excellent review of the evidence  
20 available for this rare disorder. But in the last  
21 analysis, I urge you to consider your decision today as a  
22 matter of whether an MPS II child born in the future will

1 have all the benefits they deserve and that we can  
2 provide. Thank you for your time and thank you for  
3 considering my remarks.

4 CYNTHIA POWELL: Thank you, Dr. Ellinwood. We'll  
5 next hear from Mark Dant.

6 MARK DANT: Good morning. My name is Mark Dant.  
7 I'm the Board chair of the Washington DC based EveryLife  
8 Foundation for Rare Diseases. I'm also an MPS parent, as  
9 my son, Ryan, has MPS I. Ryan was diagnosed in 1991 at the  
10 age of three-and-a-half, years before a treatment would be  
11 available and long before newborn screen was possible for  
12 MPS I. Thanks to the partnership between patients,  
13 science, physicians, industry and our government, Ryan is  
14 now the longest treated person in the world on MPS I's  
15 ERT. His first infusion was exactly 24 years ago this  
16 Sunday.

17 Thank you, also, to this Committee that MPS I  
18 was added to the RUSP in 2016, and since that time  
19 countless babies have a new opportunity to enjoy a full  
20 life because of early diagnosis. The physicians and  
21 scientists you just heard from are globally renowned for  
22 their expertise in Hunter Syndrome. I have personally



1 been in conferences around the world with each of them,  
2 and when global experts reach out to them for the answers,  
3 they are seeking on MPS II, and the opportunities  
4 treatment and bring their patients. There are simply no  
5 better experts to MPS II than those gathered on this call.  
6 Physicians, scientists, and patients around the world rely  
7 on their opinions and I urge each of you on the Committee  
8 to do the same.

9           We know Elapses does not cross the blood-brain  
10 barrier and therefore, will not treat cognitive decline  
11 for those with a severe form of MPS II, but let me remind  
12 you, Aldurazyme, the treatment for MPS I, also does not  
13 cross the blood-brain barrier, but because of its ability  
14 to treat somatic disease, and therefore, greatly improve  
15 patient outcomes and quality of life, this Committee  
16 rightly approved MPS I to be placed on the RUSP six years  
17 ago. I've heard the same discussions regarding not  
18 treating the brain that were discussed with MPS I and I am  
19 reminded of a friend of mine whose daughter, Stephanie,  
20 was recently diagnosed with cancer. Within weeks of being  
21 diagnosed, Stephanie began chemo treatments to halt the  
22 progression of her disease.

1                   We do not base diagnosis and treatment for  
2   pediatric cancers on the cognitive abilities of the  
3   afflicted. Why then would we allow babies born with MPS  
4   II to continue on a long diagnostic odyssey before being  
5   treated, when through newborn screening we can  
6   successfully diagnose and treat the baby before damage  
7   occurs as in all the examples given here today. Hunter  
8   boys make take several years to exhibit signs of their  
9   disease. Attenuated Hunter boys, just like children with  
10   attenuated MPS I may take even longer to present yet the  
11   damage their bodies are enduring throughout those years on  
12   the diagnostic odyssey continues and many of those changes  
13   will be lifelong and not reversible. Hunter disease  
14   progresses from birth.

15                   In 2006, the FDA approved a lifesaving ERT for  
16   MPS II that prevents the relentless damage of this disease  
17   allow us to bring this therapy to the babies who so  
18   desperately need it so they may live the life they  
19   deserve.

20                   In closing, this past July, my wife, and I had  
21   the opportunity to watch our son, Ryan, get married to a  
22   wonderful third grade teacher in Dallas, Texas. That

1 dream was brought to them and us by the partnerships I  
2 mentioned earlier.

3           The week before Ryan's wedding I received a call  
4 from a new mom in Southern California, whose infant son,  
5 Luca, had just been diagnosed with MPS I through your gift  
6 of newborn screening. She said -- she and I spoke about  
7 the importance of relying on Luca's physicians and the  
8 miracle of enzyme replacement therapy, but we also spoke  
9 about my son, Ryan's journey. Ryan has endured twelve  
10 surgeries over his 34 years now. Most to try to repair  
11 the damage that occurred in his body over the ten years  
12 before he began treatment. Published science now tells us  
13 because Luca was diagnosed at birth, the pain Ryan has  
14 endured will more than likely not come Luca's way. Luca's  
15 mom called me last week. Luca started ERT within weeks of  
16 his birth and she describes him today not as a sick baby  
17 seeking diagnosis and treatment, but as a happy, healthy  
18 little boy.

19           Please give our Hunter babies the same  
20 opportunity newborn screening saves lives. This day, this  
21 moment, you can save the lives of the next generation of

1 MPS II boys. Please vote yes to adding MPS II to the  
2 RUSP. And we thank you for your time.

3 CYNTHIA POWELL: Thank you, Mr. Dant. As we  
4 conclude this public comment session, I would like to  
5 thank all of our speakers, those of you who shared your  
6 personal stories and to our clinicians and researchers,  
7 thank you for sharing your expertise with this Committee.

8

9 **NEWBORN SCREENING FOR MUCOPOLYSACCHARIDOSIS TYPE II**  
10 **(MPS II): A SYSTEMATIC REVIEW OF THE EVIDENCE (PART 1)**

11 We'll now move on. At the 2021 May meeting the  
12 Committee voted to move MPS II to a full evidence-based  
13 review. We received updates on the evidence-based review  
14 at the August and November 2021 meetings. Later this  
15 afternoon the Committee is scheduled to vote on whether or  
16 not to recommend MPS II for inclusion on the RUSP.  
17 However, first the Committee will hear three presentations  
18 from members of the external evidence base review group on  
19 the evidence-based review for MPS II.

20 After the ERG presentations, Dr. Jane DeLuca and  
21 Dr. Shawn McCandless will give the Committee report on MPS  
22 II followed by discussion and a Committee vote. Committee

1 members, while you consider the evidence presented today,  
2 use the decision matrix as a deliberation tool. For  
3 reference, the decision matrix and the decision matrix  
4 guidance were included in the briefing book.

5 First, assess the magnitude of net benefit, and  
6 that is all benefits minus any harms from newborn  
7 screening. And then consider the certainty about the  
8 evidence.

9 Next, we'll hear about readiness and feasibility  
10 from a public health program perspective. Now I'd like to  
11 introduce the members of the ERG who will present to this  
12 Committee today, starting with Dr. Alex Kemper, lead of  
13 the ERG. Dr. Alex Kemper is the Division Chief of Primary  
14 Care Pediatrics at Nationwide Children's Hospital and  
15 Professor of Pediatrics at the Ohio State University  
16 College of Medicine. Dr. Kemper completed his pediatric  
17 residency training at Duke University followed by combined  
18 fellowship training in health services research and  
19 medical informatics with residency training in preventive  
20 medicine at the University of North Carolina.

21 Dr. Kemper's research focuses on the delivery of  
22 preventive care services, including newborn screening.

1 Since 2013, Dr. Kemper has also served as Deputy Editor of  
2 Pediatrics.

3 Lisa Prosser is the Marilyn Fisher Blanch  
4 Research Professor of Pediatrics and director of the Susan  
5 B. Meister Child Health Evaluation and Research Center.  
6 Dr. Prosser also holds an adjunct faculty appointment at  
7 the Harvard School of Public Health. Her research focuses  
8 on measuring the value of childhood health interventions  
9 using methods of decision sciences and economics. Current  
10 research topics include newborn screening programs,  
11 vaccination programs and methods for valuing family  
12 spillover effects of illness.

13 Jelili Ojodu is the Director of Newborn  
14 Screening and Genetics Program at the Association of  
15 Public Health Laboratories or APHL. He is also the  
16 project director of the newborn screening technical  
17 assistance and evaluation programs known as NewSTEPS. Mr.  
18 Ojodu is responsible for providing guidance and direction  
19 for the Newborn Screening and Genetics and Public Health  
20 Program at APHL.

21 He received his Master's in Public Health from  
22 the George Washington University and a Bachelor of Science

1 degree in biological science from the University of  
2 Maryland College Park.

3 And we'll first hear from Dr. Kemper.

4 ALEX KEMPER: Thank you, very much, Dr. Powell.

5 I appreciate this opportunity to report on major findings  
6 from our evidence review. I know that members of the  
7 Advisory Committee have been given a copy of this report,  
8 and our presentation today is just going to highlight key  
9 aspects of that report. I will begin by discussing  
10 findings from the evidence review, and then Dr. Prosser  
11 will be talking about the public health modeling.

12 I'll come back in just for a minute to talk  
13 about issues of cost and then Mr. Ojodu will follow up  
14 with findings from the Public Health System Impact  
15 Assessment. As we go through, we will periodically stop  
16 and give a summary of a key findings which I think will  
17 help best with keeping track of everything for the  
18 decision-making process. Next slide, please.

19 This is a list of all the people who are  
20 involved in our evidence review group. And I would also  
21 like to thank Dr. DeLuca and Dr. McCandless for  
22 participating with us as Committee liaisons. They

1 certainly helped us develop a better report and we're very  
2 grateful for their involvement. Next slide, please.

3           And this is a list of the technical expert panel  
4 members. The technical expert panel plays a really vital  
5 role in helping us to understand the body of literature  
6 that's out there and the nuances of the particular  
7 condition. I'll just leave this for a second and you can  
8 read a list of names. And I've also marked those members  
9 of the technical expert panel who also served as  
10 nominators of MPS II to the Advisory Committee. Again, I  
11 want to thank members of the technical expert panel. It  
12 really helps us to deliver the best possible evidence  
13 report that we can. Next slide, please.

14           So, I want to start by providing an overview of  
15 MPS II. As you've heard earlier, it's a lysosomal storage  
16 disorder due to dysfunction of a particular enzyme,  
17 iduronate-2-sulfatase. And that is caused by mutations in  
18 the IDS gene which leads to accumulation of two  
19 glycosaminoglycans, GAGs. You'll hear me say this through  
20 the report, those two specifics one's dermatan sulfate and  
21 heparan sulfate. Next slide, please.



1                   MPS II has a broad range of presentation and  
2   disease course. It's typically classified as either  
3   severe or attenuated, or another set of terminologies that  
4   you hear is neuronopathic versus non-neuronopathic. In  
5   general, as I go through the presentation, I'm going to be  
6   using the severe attenuated terms because that's the  
7   language that we saw in many of the reports that we  
8   pulled, and I'll be talking more about what the  
9   implications of these different phenotypes are as I go  
10  through.

11                  About 60 percent of individuals with MPS II have  
12  the severe phenotype. But again, it's really important to  
13  understand that there's highly variable phenotypic  
14  expression and that's what really leads to this broad  
15  spectrum of involvement that I'll be talking about this  
16  morning. Next slide, please.

17                  So now I want to transition and talk about what  
18  we know about MPS II in terms of its disease course and  
19  its epidemiology. Next slide, please.

20                  Much of the information I'm going to be  
21  providing about the disease course comes from the Hunter  
22  Outcome Study. And so, before I drill into the data, I

1 just want to make sure that everyone understands where the  
2 Hunter Outcome Survey, how it fits into things.

3           So, it was established in 2005. It's a  
4 voluntary registry that now draws information from 29  
5 countries. The Hunter Outcome Study, itself, includes  
6 patients who are untreated, who received Idursulfase,  
7 which you will hear me talking about in a bit, is the  
8 enzyme replacement therapy, or hematopoietic stem cell  
9 transplant that excludes patients who have received other  
10 enzyme replacement therapies or who are on other -- in  
11 other research studies. It does include retrospective  
12 data on patients who died prior to the initiation of the  
13 entry into the Hunter Outcome Study, and as you look  
14 across different studies reporting findings from the  
15 Hunter Outcome Study, what you learn is there are  
16 different ways of analyzing it in terms of using different  
17 subpopulations within the Hunter Outcome Study and using  
18 different analytic approaches. And as I talk about the  
19 Hunter Outcome Study, I'm going to highlight these  
20 important nuances as it plays into interpreting the  
21 evidence. Next slide, please.

1                   So, the disease course in general has common  
2 clinical important features, some of which you just heard  
3 mentioned in the public comment period, including cardiac  
4 valve thickening. There could be splenomegaly and  
5 hepatomegaly. There could be involvement in the  
6 respiratory tract leading to obstructive sleep apnea.  
7 That's generally associated with the large tonsils and  
8 adenoids. Reduced pulmonary function, skeletal disease  
9 with progressive joint stiffness and behavioral problems  
10 with cognitive impairment.

11                   The hallmark of the severe form is progressive  
12 in significance intellectual disability, and the severe  
13 form also tend to have more significant behavioral  
14 problems. Next slide, please.

15                   This table lists the presentation of common  
16 clinical findings from the Hunter Outcome Survey. This  
17 includes the first 263 subjects that were enrolled. About  
18 a quarter of them had received enzyme replacement therapy  
19 at the time of the enrollment, and the meeting agent  
20 enrollment into the Hunter Outcome Survey for these  
21 patients was 12.2. So, you can see very common  
22 conditions, including otitis media, abdominal hernia, and

1 nasal obstruction, facial dysmorphism. I talk about the  
2 organomegaly, and you can just read down the list. But  
3 all the things that are listed on this disease affected  
4 more than half the subjects with MPS II. Next slide,  
5 please.

6 A subsequent study of the Hunter Outcome Study,  
7 this one including 800 individuals who are treated with  
8 enzyme replacement therapy and 95, I should say, untreated  
9 there, I apology. The median age of symptom onset was  
10 one-and-a-half years, and the median age of diagnosis was  
11 3.2 years. So, you can see this gap between the ages when  
12 symptoms first developed and when a diagnosis occurred.  
13 Next slide, please.

14 The information on this slide comes from a  
15 different study. It was a study that was done in England,  
16 and it involved 110 pediatric patients with a median age  
17 of 10 years and following them through to adulthood. The  
18 survival rate to 21 years was 52 percent for those treated  
19 with ERT at any age versus nine percent of those who are  
20 not treated with enzyme replacement therapy. So, you can  
21 see this really striking difference in survival based --

1 survival to adulthood based on treatment with enzyme  
2 replacement therapy. Next slide, please.

3 In terms of the epidemiology, based on  
4 clinically diagnosed cases, there was a recent review that  
5 reported this fairly wide range of .13 to 2.16 cases per  
6 100,000 children. If you look at the rates in Japan and  
7 Taiwan, the range was much narrower, .84 to 1.07 per  
8 100,000 children. And then if you looked in the study and  
9 you excluded the outliers and then excluded the East Asian  
10 countries, the prevalence reported was .26 to .64 per  
11 100,000 children. So again, there's this very wide range  
12 in reported birth -- or reported prevalence. It seems to  
13 be a little bit higher in Japan and Taiwan, little bit  
14 lower elsewhere. But again, there are many outliers that  
15 are reported as well. Next slide, please.

16 Now let's talk a little bit about the process of  
17 diagnosis. Next slide, please.

18 So, establishing the diagnosis is based on  
19 confirming low I2S enzyme activity, and normal enzyme  
20 activity in at least one other sulfatase, because there  
21 are other conditions that you want to make sure that  
22 they're not, confirming at the time elevated urine GAG

1 levels. And so, the diagnosis is really based on these  
2 biochemical tests. The molecular diagnosis could be  
3 supportive but it's not necessarily confirmatory. And  
4 what I'd like to point out is that there are more than 700  
5 variants of the IDS gene. A study from 2013 -- so again,  
6 this is a little bit old -- found that about 60 percent of  
7 subjects had a private mutation that wasn't clearly  
8 predictive of phenotype. However, if you do have a, you  
9 know, significant deletion in major areas, those kinds of  
10 things, then you can predict that the infant is more  
11 likely to have the severe form. But again, the molecular  
12 diagnosis is helpful but it's not necessary.

13           For some individuals there might be, for  
14 example, a borderline low IDS enzyme activity or maybe a  
15 modestly elevated urine GAG levels, and for these  
16 individuals there could be diagnostic uncertainty. And  
17 for those individuals, the current recommendations are  
18 that they're followed up every six to twelve months for,  
19 you know, some period of time based on the biochemical  
20 findings. Typically, according to the experts, this can  
21 be up to two years before it's clear whether they truly  
22 have MPS 2 or not. Next slide, please.

1                    Screening is based on I2S enzyme activity in  
2   dried blood spots. It can be done either through tandem  
3   mass spectrometry, MSMS on the slides, or through  
4   fluorometry with digital microfluidics. I'm going to be  
5   talking in a little bit about which states use which  
6   approach. There's also an optional second tier test where  
7   you can look at the GAG levels in dried blood spots. And  
8   again, I'm going to be talking a little bit about this in  
9   a minute as I go through state specific information. Next  
10   slide, please.

11                    So first I'd like to highlight the screening  
12   that's going on in Illinois. SZ71 positive screens that  
13   ultimately led to referral to a sub-specialist clinic.  
14   There were nine confirmed to have MPS II, 43 who had  
15   biochemical pseudo-deficiency, so it looked like their  
16   enzyme activity levels were low but their GAG levels in  
17   their urine were normal and they were otherwise healthy.

18                    There were nine who were normal. There were  
19   five who were last to follow up and there were five who  
20   were still in the follow up process. So those are  
21   individuals who have, like I said before, it's kind of the

1 borderline concerning findings who are still being  
2 followed on a regular basis.

3           One thing that I'd like to highlight from the  
4 screening activities in Illinois is that although we  
5 weren't able to identify systematic information on  
6 additional family members who might have been identified  
7 after the diagnosis of MPS II, through newborn screening,  
8 there were a few interesting cases that we heard about  
9 from one referral center that I'd like to highlight. One  
10 is a two-year-old brother that was diagnosed based on a  
11 positive newborn screen. There was a maternal great uncle  
12 who was diagnosed, and then there was also a maternal  
13 grandfather who had pseudo-deficiency. Again, pseudo-  
14 deficiency doesn't cause disease, but I do want to point  
15 out that in a sort of, you know, evaluation of families  
16 that these other cases were identified. Next slide,  
17 please.

18           And now I'd like to highlight the screening  
19 that's been going on in Missouri. They used internal  
20 microfluidics with fluorometry and a second-tier test with  
21 dried blood spots looking at GAG levels. They began their  
22 screening in 2018 and there's another report that shows a



1 longer period of time than this table here, but I do want  
2 to focus on this table that, again, is not their entire  
3 screening history, but screening from January through  
4 December of 2020. This was a time period where the  
5 newborn screening program with high reliability could give  
6 me all the information on the newborns that were screened  
7 during this period of time.

8           So, in this one-year period there were a little  
9 over 68,000 newborns who were screened. There were 11  
10 positive screens that eventually led to sub special point  
11 of referral. There is one case of MPS II that was  
12 diagnosed, two cases of biochemical pseudo-deficiency, one  
13 normal, five still in follow-ups. There was one death  
14 before referral. This was an infant who was in the  
15 neonatal intensive care unit and again, died before  
16 diagnostic evaluation could be completed. And there was  
17 one family that declined further testing. Next slide,  
18 please.

19           There is pilot screening that's going on in New  
20 York with tandem mass spec. I want to be clear though,  
21 this is not a statewide screening. It's in a limited  
22 number of selected hospitals and it's just too early to

1 assess the outcome of those screening activities

2 currently. Next slide, please.

3           Taiwan has also been screening. They screen  
4 with tandem mass spec. The screening there is done with  
5 consent, and then the other challenge in terms of  
6 interpreting the findings from Taiwan is that there are  
7 multiple programs that offer newborn screening within  
8 Taiwan. They don't work within well-defined geographic  
9 areas and each separately reports outcome. So, the  
10 details of this are complicated and really doesn't add to  
11 the decision-making process. The reports are in the  
12 detail -- the details are in the report and I'm going to  
13 be highlighting really the significant findings from  
14 Taiwan in a moment. Next slide, please.

15           So, this is the summary slide of the screening  
16 information that we have. You can see the location listed  
17 on the left. We have Missouri listed there twice. Once,  
18 just focusing on the 2020 data and then the other in the  
19 published 2018 and 2021 data. And again, we have Taiwan  
20 listed twice because they operate with different programs.

21           The second column is the time period of the data  
22 for each program. The next column is the total number of

1 newborns that were screened. But what I'd really like to  
2 highlight are the last three columns. So, the first of  
3 these columns shows the number referred for diagnostic  
4 follow-up for 100,000 newborns screened. The next column  
5 is the number of MPS II cases that were detected. These  
6 were actual diagnoses. And then the final column  
7 represents the infants who were in that diagnostic follow-  
8 up process, if you remember, coming back every six to  
9 twelve months for perhaps up to two years. So the number  
10 of infants without diagnosis for 100,000 screened.

11 So, what you can see, first of all, is that the  
12 number of infants who were referred for diagnostic follow-  
13 up in Illinois and Missouri ranges from, you know, 13 to  
14 15. I think the 16 number, again, is incorporated into  
15 the 2018 to 2021. It's really -- you can think of it as,  
16 you know, somewhere between 13 and 15 per 100,000 screened  
17 are being referred for diagnostic follow-up. Case  
18 detection in the United States, in the two programs in the  
19 United States that are routinely screening is 1.5 to 1.6  
20 per 100,000 newborns screened, and as you can see, it's  
21 significantly higher in Taiwan where those numbers are 2.9  
22 and 4.1 per 100,000 newborns screened.

1                   And then in the final column you can see the  
2 number of infants, as I said, without diagnosis who were  
3 still in a follow-up process. I would say that number  
4 would probably be between .9 and 2.1. The 7.3 that you  
5 see in Missouri is likely artificially inflated because it  
6 reflects only one year. And so, if it's followed for up  
7 to two years, that would make that number look bigger.  
8 So, for the purposes of decision making today, the number  
9 is likely somewhere between 0.9 and 2.1 per 100,000  
10 screened. Next slide, please?

11                   So, I want to take a step back and just  
12 summarize again what we know about screening. So,  
13 Illinois and Missouri have adopted screening, and that  
14 they have identified newborns with MPS II. There are some  
15 infants who are followed because of diagnostic  
16 uncertainty. And the thing that I would like to point out  
17 to the Committee is that the case detection rate from  
18 screening is higher than the expected clinical detection  
19 rate, which I showed you before, remember, it was around  
20 .6 or so per 100,000 in the clinically detected cases.

21                   We oftentimes see this with newborn screening,  
22 that newborn screening identifies cases that for whatever

1 reason might not have come to attention or maybe the  
2 phenotype of these extra cases is somehow different. But  
3 again, we see this commonly with newborn screening. Next  
4 slide, please.

5 Now I'm going to transition and speak  
6 specifically about treatment. Next slide, please.

7 So, I've alluded to enzyme replacement therapy  
8 previously in this talk. Enzyme replacement therapy is  
9 the standard targeted treatment that's available in the  
10 United States. Idursulfase is the name of the enzyme  
11 replacement therapy. It was FDA approved in 2006, as  
12 you've heard from the public comments earlier, it's a  
13 weekly infusion. It's given over several hours. It does  
14 not significantly cross the blood-brain barrier. In terms  
15 of other adverse effects that have been described with  
16 enzyme replacement therapy, as with other enzyme  
17 replacement therapies that we've discussed, there can be  
18 infusion reactions. These are typically treated by  
19 slowing down the rate of infusion. Sometimes it requires  
20 pre-medication such as antihistamines or corticosteroids,  
21 but from all the reports that we've read about enzyme

1 replacement therapy, these infusion reactions have not led  
2 to discontinuation of enzyme replacement therapy.

3           The other thing that can happen with enzyme  
4 replacement therapy is that individuals can develop  
5 antibodies to the enzyme replacement therapy. Again,  
6 we've seen this with other enzyme replacement therapies.  
7 From the reports that we reviewed, these antibodies do not  
8 seem to interfere with the overall effectiveness of  
9 therapy, and we didn't identify any reports that describe  
10 discontinuation of enzyme replacement therapy because of  
11 the development of antibodies. Next slide, please.

12           So, as we dig into the issue of early treatment  
13 with enzyme replacement therapy, I do think that it's  
14 important that we, first of all, talk about the FDA drug  
15 label. It was last updated in 2018, and I'm just going to  
16 read this because it's full of text here, but Elapses is  
17 hydrolytic lysosomal glycosaminoglycan specific enzyme  
18 indicated for patients with Hunter Syndrome. Elapses has  
19 been shown to improve blocking capacity in patients five  
20 years and older. In patients 16 months to five years of  
21 age, no data are available to demonstrate improvement in  
22 disease related symptoms or long-term clinical outcome.

1 However, treatment with Elapses has reduced spleen volume  
2 similar to that of adults and children five years of age  
3 and older.

4           The safety and efficacy of Elapses have not been  
5 established in pediatric patients less than 16 months of  
6 age. And so, again, the drug label does raise this issue  
7 about the lack of establishment of effectiveness for the  
8 infants that are similar to those identified through  
9 newborn screening. I do remind the Committee that the bar  
10 is often high for updating the FDA drug label, and we are,  
11 of course, going to go through the evidence that we're  
12 able to find of effectiveness of early versus later  
13 treatment. Next slide, please.

14           There are other therapies available MPS II, and  
15 I -- in the report we describe what's known about these.  
16 For the purposes of this presentation, I'm not going to  
17 spend a lot of time on it. Hematopoietic stem cell  
18 transplantation, HSCT, is a, you know, potential therapy  
19 that is available now. But the issue is, there is lack of  
20 clear benefit on the neurologic outcomes and of course, it  
21 has risk of mortality. So, in general, stem cell

1 transplantation is not used now for treating infants with  
2 MPS II.

3           There are a lot of investigational approaches  
4 under evaluation. There is intrathecal and  
5 intraventricular versions of enzyme replacement therapy.  
6 There's modified versions of the enzyme replacement  
7 therapy that have been enhanced to help with uptake across  
8 the blood-brain barrier. There are treatments that have  
9 been approved in other countries but not the United  
10 States, including a version of the modified enzyme for  
11 intraventricular use and a version of a modified enzyme  
12 replacement therapy that has enhanced uptake across the  
13 blood-brain barrier. Again, those are not approved for  
14 use in the United States, so they're not a key topic of  
15 the discussion this morning. Gene therapy is also  
16 currently under investigation. Next slide, please.

17           So, let's talk about early MPS II treatments.  
18 Next slide, please.

19           So, in terms of the timing, as you've already  
20 heard today, Idursulfase really targets the somatic  
21 aspects of MPS II. There are no cohort studies out there



1 that directly evaluate early treatment versus treatment  
2 after clinical identification. Next slide, please.

3 And so, as part of our review process, we look  
4 at what practiced guidelines might be out there to guide  
5 the timing of intervention. And so, I do want to mention  
6 the American College of Medical -- the American College of  
7 Medical Genetics and Genomics Therapeutics Committee did  
8 develop a practice guideline. This is based on a small  
9 Delphi panel, ten specialty experts, and there were no  
10 public members on this Delphi panel. Next slide, please.

11 So, this is a direct quote from the practice  
12 guideline, and I'm going to go through the first few of  
13 these because it's relevant for the conversation. So, all  
14 individuals with severe MPS II or predicted to have severe  
15 MPS II based on genotype warrant starting enzyme  
16 replacement therapy prior to showing signs or symptoms.

17 Number two, individuals with signs or symptoms  
18 with either attenuated or severe MPS II warrant enzyme  
19 replacement therapy.

20 Then the third point is that in their guideline  
21 is that individuals with attenuated MPS II who are not  
22 showing signs or symptoms of disease do not warrant ERT.

1 And so, I'm going to stop right there with that number  
2 three, and again, through newborn screening, one would  
3 expect to identify infants, some of whom are going to have  
4 attenuated MPS II and by the nature of newborn screening  
5 are not going to show any signs of symptoms of the  
6 disease. Next slide, please.

7           So, I think it's really important to understand  
8 the different perspectives and why this recommendation of  
9 number three against routinely starting enzyme replacement  
10 therapy for individuals with attenuated MPS II who are not  
11 showing any signs or symptoms, where that came from. So,  
12 if you read the material that was published with the  
13 practice guideline in the appendix, the supplementary  
14 appendix material, it's clear that some Delphi panel  
15 participants did not agree with that recommendation and  
16 felt that at a minimum, enzyme replacement therapy should  
17 be offered.

18           The technical expert panel, the TEP experts  
19 strongly recommended offering enzyme replacement therapy  
20 to all patients with MPS II regardless of predicted  
21 phenotype, even in the absence of clinical findings. And  
22 again, remember that there can sometimes be challenges

1 when you diagnose the MPS II in an infant to predict what  
2 the phenotype is going to be. But the technical expert  
3 panel wanted to highlight, first of all, that the GAG  
4 accumulation leads to progressive involvement regardless  
5 of what the phenotype is. You have already heard this.  
6 And enzyme replacement therapy will not reverse damage  
7 caused by GAG accumulation. As you hear a little bit in  
8 my discussion, enzyme replacement therapy can be  
9 beneficial for some individuals, even after GAG  
10 accumulation, things like reducing hepatosplenomegaly,  
11 those kinds of things. But the GAG accumulation itself  
12 does cause damage and not all that is reversed by enzyme  
13 replacement therapy. There's, you know, specific issues  
14 brought up, for example, the involvement in cardiac valves  
15 and the joints. Again, we'll share with you that  
16 information as we talk about cases. But there really was  
17 this different -- strong, different perspectives on things  
18 and the technical expert panel felt very strongly about at  
19 least offering enzyme replacement therapy to all families.  
20 And again, if you read the Delphi panel findings, it was  
21 clear that there were Delphi panel members who felt that  
22 way as well.

1           So again, summarizing what members of the --  
2 what members of the Delphi panel said and all members of  
3 the technical expert panel said is that parents can really  
4 make informed choices about when to start treatment. Next  
5 slide, please.

6           So, I want to highlight what we know about  
7 treatment following newborn screening. So, first of all,  
8 I don't have the complete information on all individuals  
9 who were identified in Illinois, but at least in Illinois,  
10 of the seven cases that were identified and that were  
11 managed in one referral center, five started enzyme  
12 replacement therapy and two families have elected close  
13 clinical follow-up, and I can't tell you how long they are  
14 into that process of close clinical follow-up, but at  
15 least most are opting to begin enzyme replacement therapy.  
16 In Missouri, of the three with severe -- the three cases  
17 of severe MPS II that were identified started on enzyme  
18 replacement therapy, one also received a stem cell  
19 transplant and died due to transplanted related  
20 complications. Next slide, please.

21           For the next part of the talk, we're going to  
22 explore what we learned about early versus later

1 treatment, and the first bit of information that I'm going  
2 to be sharing with you comes from the registry study, the  
3 Hunter outcome study. Next slide, please.

4           So, this study looked at 481 subjects who were  
5 in the Hunter Outcome Study. It's stratified by the age  
6 at which ERT began, grouping them into less than 18  
7 months, 18 months to five years and then five or more  
8 years. It's somewhat difficult to interpret the findings  
9 from the study because there's variation in completeness  
10 of the data and the length of follow-up. And outcomes in  
11 this report are based on the time since enzyme replacement  
12 therapy started, not on absolute ages. So, there are --  
13 you know, children might be compared, or subjects might be  
14 compared who are a little bit out of sync in terms of age.  
15 Next slide, please.

16           But what I do want to do here is highlight key  
17 findings from this study. So, you know, as you would  
18 expect, the urine GAG levels decrease similarly for all  
19 subjects once they begin enzyme replacement therapy. The  
20 left ventricular mass index remains stable. The liver  
21 size decreased with faster reduction of hepatomegaly for

1 those who began enzyme replacement therapy at a younger  
2 age.

3           And then this particular study separated out  
4 those individuals without cognitive impairment who were  
5 followed for at least eight years with enzyme replacement  
6 therapy and completed the six-minute walk test. So, among  
7 these individuals, the six-minute walk test increased with  
8 a greater increase for those who started earlier. You can  
9 see -- I didn't put them in here, but the confidence  
10 intervals are really quite wide, but for those who began  
11 between birth and 18 months, their six-minute walk test  
12 was 507 meters for 18 months, for five years, 494 meters -  
13 - 495. And then for those five or more, about 474 meters.  
14 So, there is this small difference in the six-minute walk  
15 test.

16           I'm going to be providing more information about  
17 the six-minute walk test that I think is a little bit more  
18 clear in a minute, but I think that understanding that  
19 there's this pattern is helpful when we do get to that  
20 information. Next slide, please.

21           So, as I mentioned before, you know, we don't  
22 have the cohort studies or the clinical trials directly

1 comparing earlier versus later treatment. Again, those  
2 would be difficult to do, given the rarity of MPS II. And  
3 so, we look to case reports and sibling studies. And I'm  
4 going to summarize the key findings from those reports  
5 here. Next slide, please.

6           So, for enzyme replacement therapy in the first  
7 year of life, we found the case series of eight infants  
8 who were diagnosed based on family history and then  
9 treated with enzyme replacement therapy. For follow-up  
10 somewhere between -- follow-up with six of them for  
11 between 20 months and five-and-a-half years. So again,  
12 these -- you know, there's always so much heterogeneity  
13 within individual cases and then when you put them  
14 together you end up with, you know, these wide ranges of  
15 follow-up and incomplete information. But if you just  
16 look at these individual cases, for those who began in the  
17 first year of life, there did seem to be normal growth,  
18 minor joint impairment, improved development, decreased  
19 hepatosplenomegaly and there was one subject who had mild  
20 aortic valve stenosis with insufficiency. But, again,  
21 this kind of case series is hard to interpret because of  
22 the lack of standardized measurements at standardized

1 times and of course, there's no comparative group of  
2 individuals who got treated later. Next slide, please.

3 And so that's where we really look to the  
4 sibling studies. You can think of them almost as a  
5 matched case study. And in interpreting -- oh, and I  
6 should have mentioned before in the Lampe study those were  
7 actually all subjects who were in the -- actually, let me  
8 go back, because I just want to be clear. You can go back  
9 one slide.

10 These were all infants who got treated before  
11 six-and-a-half months of age. So, it really -- really  
12 only about halfway into their first year of life. Next  
13 slide, please.

14 All right. So now let's go back to the sibling  
15 studies. As I talk about the sibling studies, one of the  
16 key points to remember is that siblings with MPS II are  
17 expected to have a similar phenotype. And so, it really  
18 does provide this natural comparator for early versus  
19 later treatment. We stratify things at seven months and  
20 actually lines up nicely with the Lampe study that I just  
21 described which is why we needed to go back to that. But  
22 we -- for under seven months there were three articles and



1 two conference abstracts that described seven sibling  
2 pairs. And then at seven months and older, there was one  
3 article, one conference abstract that together described  
4 two sibling pairs. Next slide, please.

5           So, I'm going to go through a series of tables  
6 now. Each table reflects one of the particular studies  
7 that we talked about. I'm going to start with those who  
8 received enzyme replacement under seven months of age, and  
9 we've -- even though, you know, these reports oftentimes  
10 don't -- you know, they don't follow the order of this  
11 table, we really spent a lot of time trying to match  
12 things up in a similar sort of way so you could compare  
13 across things.

14           So, you can see in the middle column we describe  
15 what was going on with the older sibling whose diagnosis  
16 led to early identification in the younger sibling. So,  
17 the first one is where the older sibling was two years and  
18 seven months, and the younger sibling was less than one  
19 month of age. And then for each of them you can see what  
20 they're presenting signs were at the time of enzyme  
21 replacement therapy initiation and then for follow-up.  
22 Again, follow-up is a variable across all these studies,

1 but what I highlight is that in this case the younger  
2 sibling did not develop the coarse facial features that  
3 are associated with MPS II did not develop  
4 hepatosplenomegaly, did not develop cardiac dysfunction,  
5 and had stable joints with less involvement of the  
6 skeletal system.

7           You can also see in the bottom row that there is  
8 a marked difference in development quotient between the  
9 older and the younger sibling. Next slide, please.

10           Here is a study where the older sibling was  
11 diagnosed at five years of age, the younger sibling at 14  
12 days of age. The older sibling had -- again, is expected  
13 a much longer period of follow-up, but again, to  
14 highlight, and this is consistent with the other case,  
15 there are reported the younger sibling had better  
16 outcomes, including not having the joint involvement that  
17 his older sibling had. And you can see there is this  
18 difference in IQ as reported in this study between the two  
19 siblings. I should say, you know, and I should have  
20 mentioned this at the start, this report is a little bit  
21 harder to interpret because the older sibling is a female.  
22 So, this is an excellent condition and so, as would be

1 expected, there are far fewer females that are identified  
2 with MPS II. We can find some cases of older females with  
3 it, but again, I just want to highlight that this case is  
4 a little bit unusual in that the older sibling is a  
5 female. Next slide.

6           This is another one comparing a diagnosis in a  
7 two-year-old leading to identification in the first month  
8 of life. You can see the differences in outcome as you  
9 look towards the bottom of the table. Again, there was a  
10 difference in development quotient between the two  
11 siblings. And where you see a blank in the table, it's  
12 because the information was not reported in the  
13 information that we have from the study. Next slide,  
14 please.

15           So, this is a very recent kind of hot off the  
16 press abstract that was accepted to the World Symposium.  
17 This one has three older siblings and three younger  
18 siblings. The age of diagnosis of the older siblings was  
19 between 21 and 36 months, and obviously, the younger  
20 siblings were identified quite earlier. You can see that  
21 the younger -- the three younger siblings did not have  
22 many of the findings that the older sibling had. Again,

1 the older siblings did have some improvement with enzyme  
2 replacement therapy, for example, we talked about the  
3 hepatosplenomegaly, but in general, they had much more  
4 involvement than the younger siblings that were identified  
5 because of the older siblings. Next slide, please.

6 This is another fairly recent abstract comparing  
7 a sibling who was diagnosed close to three years of age  
8 and another one at one month of age. Again, you can see  
9 the information that we have in terms of follow-up after  
10 five years versus 11 years on the older sibling. This  
11 younger sibling did have more involvement than was  
12 reported in some of the other reports. But again, you can  
13 also see from this table that there is information we're  
14 missing on this one. Next slide, please.

15 All right. Now we're going to transition and  
16 talk about the older children. So, these are individuals  
17 who were diagnosed at seven months or older. This is one  
18 that was also recently accepted for presentation comparing  
19 an older sibling who began ERT around five years of age  
20 versus the younger sibling at 1.7 years of age. Again, we  
21 have limited information from -- about this case. Next  
22 slide, please.

1           This table reflects a manuscript that was really  
2 hot off the press, just published very timely for us, that  
3 compared an older sibling who is three years, eight months  
4 of age at the time of diagnosis to a younger sibling who  
5 was diagnosed at 12 months of age and began enzyme  
6 replacement at 13 years -- or 13 months of age. You can  
7 see that this one has a longer period of follow-up in some  
8 of the other studies that I shared with you and certainly  
9 has much more information. In general, as you can see --  
10 and I should mention that this -- the younger sibling  
11 received many other interventions including intrathecal  
12 enzyme replacement therapy and has been involved in a  
13 trial for the enzyme replacement therapy that crosses the  
14 blood-brain barrier. But in any case, you can see that  
15 the younger sibling has had much improved outcomes  
16 compared to his older sibling.

17           This individual -- the younger sibling does have  
18 significant behavioral involvement, but he is also able to  
19 do things like bathe independently, dress and toilet,  
20 those kinds of things. I will say that the older sibling,  
21 because of the progression in neurologic problems and the  
22 lack of continued benefit from enzyme replacement therapy

1 did leave the family to discontinue targeted therapy for  
2 this older sibling, again, because of the severity of  
3 symptoms. Again, the younger -- the sibling is having  
4 significant behavioral problems, but overall is doing much  
5 better in terms of ability to ambulate and dress and those  
6 kind of activities of daily life. Next slide, please.

7           Now I mentioned before the six month -- the six-  
8 minute walk test, and I think that it's helpful to compare  
9 the two siblings that I just described in terms of the  
10 ability to ambulate. So, the older sibling at age 11, and  
11 you can see that that's the older sibling is the green on  
12 the top, and the younger one on the bottom. The older  
13 sibling had limited assisted -- limited ambulation  
14 required assistance at 11 years of age. The younger  
15 sibling is fully ambulatory at the same chronological age  
16 as the older sibling. So, in other words, is doing well  
17 in terms of ability to ambulate.

18           I thought that this was a particularly  
19 interesting thing to talk about because if you look at the  
20 Hunter Outcome Study, the six-minute walk test findings  
21 were less dramatic related to ambulation. Again, you  
22 know, we have limited cases, and again, you know, caution

1 should always be taken when generalizing from one  
2 particular sibling study. But I do think that it's  
3 interesting to see how much of a difference there is. And  
4 again, this particular report, we have such rich  
5 information about all the care that the siblings received  
6 versus some of the gaps in the Hunter Outcome Study that I  
7 talk about where, you know, data are incomplete and stuff  
8 like that. So again, I do think that this is an important  
9 finding that the Committee should keep in mind. Next  
10 slide, please.

11           So now I'm going to summarize the sibling study.  
12 So early treatment was consistently associated with  
13 improved somatic outcomes and the ability to perform daily  
14 activities. That being said, there's challenges related  
15 to heterogeneity in terms of the phenotype, the timing of  
16 treatment, the outcome measures that were used. Also, if  
17 you especially look at that most recent report, there does  
18 seem to be these positive impacts on families associated  
19 with earlier treatment. Next slide, please.

20           So, I'm going to back up and just talk about  
21 treatments in general. So idursulfase, again, treats the  
22 somatic components of MPS II and is associated with a

1 decreased risk of mortality by adulthood. It seems to be  
2 well tolerated. Again, we didn't find perspective or  
3 retrospective cohort studies comparing ERT in the first  
4 year of life that later treatment with standardized  
5 measures at specific ages. But the sibling case reports  
6 that we describe provide indirect evidence of an early  
7 treatment benefit, and there are many other targeted  
8 therapies that are in active areas of research.

9           Again, I didn't discuss those this morning  
10 because of our focus on the targeted treatment that's  
11 available in the United States today. Next slide, please.

12           So, what I'm going to do now is turn things over  
13 to Dr. Prosser, who is going to talk about the projected  
14 population level outcomes of MPS II in newborn screening  
15 compared with clinical detection. So, Dr. Prosser?

16           LISA PROSSER: Terrific. Thanks so much, Alex.  
17 And so good afternoon, or it is just good afternoon,  
18 everyone. Thanks for the opportunity to share these  
19 results. So first I just want to take a moment to thank  
20 the modeling team here at Michigan, Angela Rose, and Janet  
21 Crude who have worked very hard in the last few weeks in



1 terms of putting these projections together as well as the  
2 rest of the evidence group. So next slide, please.

3 So, the goal of the population level modeling is  
4 to compare projected outcomes from MPS II newborn  
5 screening for all newborns across the United States and  
6 compare that to usual case detection in the absence of  
7 screening. Next slide, please.

8 And so, the approach that will be applied in the  
9 modeling analysis here will be evaluating outcomes for an  
10 annual U.S. newborn cohort of 3.6 million. We will be  
11 comparing screening outcomes. So, screening outcomes,  
12 cases of MPS II diagnosed as well as false positives,  
13 compared to the number of projected confirmed cases of MPS  
14 II in the absence of newborn screening. Next slide,  
15 please

16 In previous condition reviews we have typically  
17 also included longer term outcomes. Although longer term  
18 in the context of newborn screening is typically still  
19 within the childhood period. But in previous condition  
20 reviews, we have also included outcomes such as death,  
21 cognitive impairment, or the need for mechanical  
22 ventilation to provide some additional information on the

1 health benefits of newborn screening identification and  
2 treatment compared to clinical identification and  
3 treatment.

4           But in this situation, there was insufficient  
5 data from the MPS II cohort studies to model these in  
6 longer term slash short term outcomes after the newborn  
7 screening diagnosis and compare that to clinical  
8 identification. Because in order to conduct that part of  
9 the analysis, it requires standardized outcome measures  
10 assessed at comparable ages stratified by age of  
11 diagnosis, and Dr. Kemper has highlighted some of the  
12 limitations to the studies that were available for MSP II.

13           And again, although sibling studies are very  
14 informative, they're not sufficient to form the modeling.  
15 And again, before I move into the details and all, I just  
16 want to be very clear here that, you know, this does not  
17 mean that there is not evidence of benefit of earlier  
18 treatment, but that there was insufficient evidence to  
19 quantify the magnitude of the incremental benefit that  
20 would potentially be associated with earlier  
21 identification, diagnosis and treatment with newborn

1 screening compared with clinical identification. So, I  
2 just wanted to highlight that. Next slide, please.

3           And so then just before we jump into the model,  
4 decision analysis, the methodology that we're using is a  
5 systematic approach to decision making under conditions of  
6 uncertainty. And the goal here, especially given the  
7 level of precision that we typically have with data and  
8 evidence around newborn screening, is that the goal is to  
9 project ranges and so not to focus as much on any of the  
10 point estimates but really to look at the ranges as a  
11 representation of the potential short- and long-term  
12 outcomes, in this case, just focusing on the short timer  
13 outcomes.

14           Decision analysis or decision modeling also  
15 allows decision makers to identify which alternative  
16 expected to yield the most health benefit, although here  
17 in this case, we're not modeling those longer-term  
18 outcomes but still, there's an opportunity to understand  
19 the magnitude and the projected range of the different  
20 categories of screening outcomes. And decision modeling  
21 in general allows for the identification of key parameters  
22 and assumptions. Note through the ranges and the level of

1 uncertainty in the projections. It can be considered in  
2 another approach to evidence synthesis, that it reflects  
3 the underlying robustness of the evidence-based in  
4 general. Next slide, please.

5           So, I'm going to walk you through the model  
6 schematic. So here there are -- what happens in the model  
7 is that kind of running across the top of the model that  
8 there are identical cohorts of hypothetical newborns. And  
9 again, these would be newborns that are not at higher  
10 risk. Otherwise at higher risk for MPS II under the  
11 assumption that if siblings, you know, are likely to be  
12 identified earlier because of the fact of being in that  
13 family and there could be other potential risk factors  
14 that they were being screened. So again, not otherwise at  
15 higher risk.

16           So going across the top of the model here, so  
17 for newborns after newborn screening test that there is  
18 some probability of a positive screen, or of a negative  
19 screen. Again, all the arrows represent probabilities  
20 that we'll go thorough and how those were prioritized on  
21 the next slide.

1           Once there is a positive screening following  
2 confirmatory testing, the final categories of outcomes  
3 that are being modeled, confirmed positive, and this could  
4 be severe or attenuated. These are all combined within  
5 the confirmed positive category. Unknown and this is not  
6 truly unknown, but this is the category that Dr. Kemper  
7 described earlier when describing the laboratory outcomes.  
8 So, these represent cases that have diagnostic uncertainty  
9 that will lead to continuing follow-up after a positive  
10 screening. And this may be for up to one year, up to two  
11 years if that's where there is some uncertainty and how  
12 long that follow-up period will be. In many cases, it  
13 might be much shorter than that. So that unknown is  
14 diagnostic uncertainty with additional follow-up following  
15 the confirmatory testing.

16           The next category is false positive. This  
17 includes both cases that are identified as normal,  
18 following testing as well as cases of biochemical pseudo-  
19 deficiency. And then we do include here the category of  
20 lost to follow up.

21           Following along the bottom of row -- of clinical  
22 identification, actually, this should be combined into

1 just one category of MPS II because we're modeling these  
2 all together as cases of MPS II model and clinical  
3 identification. So, let's move to the next slide, please.

4           So, this slide represents the definition of the  
5 parameters in the model. And so, these are the parameters  
6 that populate all of the arrows that were represented on  
7 the previous slide. And so just quickly run through this  
8 table, but these represent combined data from the Illinois  
9 and Missouri newborn screening programs with the most  
10 likely, which is a combined estimate and then ranges for  
11 each of the parameters in the model. So, probability of a  
12 positive screen is 13 per 100,000 with a range of 10.3 to  
13 16.1 per 100,000. And then going through -- I'm actually  
14 -- I think it's a little easier to interpret with the  
15 rates, but it's parameterized as probabilities in the  
16 model, but for MPS II. So, the cases of MPS II diagnosed  
17 after a positive screen, that rate, as Dr. Kemper  
18 presented earlier, is 1.6 per 100,000 from the combined  
19 data and there's a range around that for diagnostic  
20 uncertainty leading to follow-up after a positive screen.  
21 Similar baseline rate, but a wider range, 0.9 to 5.9,  
22 around that.

1                   For false positives, this is projected at 8.7  
2 per 100,000. Again, there's a range and lost to follow up  
3 after positive screening of 1.1 per 100,000. The rate for  
4 confirmed MPS II under clinical identification, that is  
5 being used as 0.67 per 100,000, and this excludes some of  
6 the outlier studies, but we have included the full range  
7 from reports that have been published from both the U.S.  
8 as well as in other countries for the range that are  
9 projected. So, if you could move to the following slide,  
10 please.

11                   So, this slide shows the projected number of  
12 positive screens, looking at the middle column for newborn  
13 screening, a base case estimate of 467, that was per year,  
14 for U.S. cohort of 3.6 million newborns with a range of  
15 about 370 to 580, so a range there.

16                   Then looking at the breakdown following  
17 confirmatory testing for MPS II diagnosed. Again, I'm  
18 going to focus on the ranges, 42, 59 and comparing that to  
19 clinical identification, that consistent with the  
20 laboratory estimates that the model would be projecting a  
21 higher rate of confirmed MPS II cases diagnosed under  
22 newborn screening compared with clinical identification.

1           For diagnostic uncertainty requiring follow-up,  
2 case projected estimate of 57 with a wider range here  
3 reflecting the higher uncertainty around this number.  
4 And again, there is not a specific follow-up period to  
5 find at this point. For false positives, roughly 300 per  
6 year, again, with the range around that, and lost to  
7 follow-up, and this included the cases that were  
8 identified through the laboratory screening that were true  
9 lost to follow-up, but also includes one infant that  
10 passed away prior to identification. So, let's move on to  
11 the next slide, please.

12           So, in terms of a summary, the projections show  
13 that newborn screening would identify a greater number of  
14 cases of MPS II compared with clinical identification,  
15 that the number of cases requiring follow-up, because of  
16 diagnostic uncertainty, but similar to the number of cases  
17 of MPS II diagnosed immediately following newborn  
18 screening. Again, with a greater range of uncertainty  
19 surrounding those estimates. If the cases lost to follow-  
20 up, if there had been an opportunity for further  
21 evaluation, and also as those cases that are being



1 followed up resolve, the estimates from this model could  
2 change.

3           And then, just to look back to this -- you know,  
4 the decision is really an approach to evidence synthesis  
5 that to highlight that this is the first condition  
6 considered by the Committee since incorporation decision  
7 modeling for which there has been insufficient evidence to  
8 model any longer-term outcomes that quantify the potential  
9 benefits of screening in terms of specific health  
10 outcomes.

11           And so, I will stop there and hand it back over  
12 to you, Alex.

13           ALEX KEMPER: Great, thank you. And so, what I'm  
14 going to do -- next slide, please. Do you want me to do  
15 cost now or are you going to do it after lunch?

16           CYNTHIA POWELL: Why don't we wait and do it  
17 after lunch if that's okay with you --

18           ALEX KEMPER: Okay. That's fine.

19

20

21

22

1 **BREAK**

2 CYNTHIA POWELL: -- Dr. Kemper. Thank you both.  
3 Yeah, so we're scheduled to break until 12:45, so we'll do  
4 that and see you back in 30 minutes.

5 (Whereupon a recess was taken at 12:15 o'clock  
6 p.m.)

7

8 **NEWBORN SCREENING FOR MUCOPOLYSACCHARIDOSIS TYPE II**  
9 **(MPS II): A SYSTEMATIC REVIEW OF THE EVIDENCE (PART 2)**

10 CYNTHIA POWELL: Welcome back everyone. This is  
11 the second part of the presentation from the Evidence-  
12 Based Review Committee on MPS II. And I think Dr. Kemper  
13 was going to be next, is that correct?

14 ALEX KEMPER: Yes. Yes, you are. You ready?

15 CYNTHIA POWELL: Yes.

16 ALEX KEMPER: First of all, I have one small  
17 correction to make. I misspoke about the Missouri Newborn  
18 Screening a little bit. I inserted -- and this is my  
19 fault -- a late change to the term digital microfluidics.  
20 They actually use -- they use plate reader fluorometry for  
21 the screening separate than the digital microfluidics  
22 platform that they use for other screening in Missouri.

1 And I'd like to thank Dr. Geld for reminding me of this  
2 and allowing me to correct the record. All the numbers  
3 that I shared, and everything were the same, but I was --  
4 I misspoke about the technology earlier. So now going  
5 back to the presentation. As the Committee knows, we are  
6 required to look at the newborn screening program cost of  
7 MPS II screening. So, this next section isn't the cost of  
8 everything related to screening diagnosis and treatment  
9 for MPS II but is really limited to answering the question  
10 about the cost to the newborn screening program. And as  
11 we've discussed previously in terms of our methods given  
12 the complexity of the issues and the potential ways that  
13 things might change from program to program and over time  
14 as well, we really focus on the range of potential costs.

15 This part of the analysis is led by my friend  
16 and colleague, Dr. Scott Grosse, so I am presenting the  
17 information, but I just really want to give him credit for  
18 helping us think through how to do this. Next slide,  
19 please.

20 So, the cost information I'm going to provide to  
21 you is based on interviews with representatives from the  
22 Illinois and the Missouri newborn screening programs, and

1 included were estimated costs related to equipment,  
2 reagents, laboratory -- you know, additional laboratory  
3 technicians and scientists' time. But again, it's  
4 challenging to figure out the precise cost for MPS II  
5 screening because it's like every time a condition is  
6 incorporated in the screening, it's incorporated into  
7 existing activities. Next slide, please.

8           So, the estimated cost of rough and beyond, the  
9 fixed cost of the existing program is between two and six  
10 dollars per infant screened. Of course, there again, I  
11 had to word digital microfluidics. Just pretend like you  
12 don't see that when you're looking at the screen, but the  
13 technology, tandem mass spec or fluorometry, the volume of  
14 specimens that the program evaluates, the need for  
15 additional technician time, commercial assay versus  
16 laboratory developed test use, whether or not equipment is  
17 rented versus purchased and then there are additional  
18 fixed costs, for example, updating the laboratory  
19 information management system, the LIMS systems, for  
20 example.

21           It's important to note that because of the low  
22 positive first year screening rate, the enzyme activity,

1 things like second-tier GAG testing or the cost of short-  
2 term follow-up really don't substantially impact the cost  
3 of screening. Again, the number of infants who require  
4 second tier testing or short-term follow-up is small  
5 relative to the number of infants who are screened. So  
6 again, in summary, the cost is between two and six dollars  
7 per infant screened. Next slide, please.

8 We are now going to transition to the public  
9 health system impact assessment, and that will be  
10 presented by Mr. Ojodu based on the survey work that's  
11 been done. And again, he'll discuss this in detail, but  
12 this gets to the readiness and feasibility aspect of the  
13 considerations regarding MPS II Newborn Screening.

14 JELILI OJODU: Thanks, Alex. Good day everyone.  
15 My name is Jelili and I'm with the -- can you hear me?  
16 Excellent. Next slide, please.

17 So, before I even begin, I'd like to acknowledge  
18 all of the newborn screening programs around the country  
19 for not only their work in being essential employees and  
20 folks in the Newborn Screening and Public Health System,  
21 but for their work in actually completing these surveys  
22 for us.

1           As Alex said, I'm going to just highlight some  
2 of the activities related to the public health impact as  
3 it relates to MPS II. I'm going to focus some of this  
4 talk on the feasibility and readiness of implementing  
5 comprehensive population newborn screening and different  
6 programs across the country. Next slide, please.

7           So, this is how we define readiness, and I think  
8 we've done this a number of times. I know that there are  
9 new folks on the -- you know, Committee members on the  
10 Advisory Committee, but we define readiness or a newborn  
11 screening program as ready if they can implement a newborn  
12 -- a new condition to their old state panel within a year,  
13 developmental readiness within one to three years and then  
14 unprepared will take a little bit longer than three years  
15 to be able to implement. Again, full population mandated  
16 newborn screening in their state. Next slide, please.

17           These are the components of feasibility. Again,  
18 these are broad but certainly I want to validate and  
19 establish the newborn screening test, the available  
20 newborn screening test, a clear diagnostic confirmation  
21 approach as well as treatment plan. And then an

1 established follow-up approach, especially for long term.

2 Next slide, please.

3           We want to understand, and this is quite  
4 important, why. When these conditions are being  
5 considered into -- or considered for recommendation to the  
6 RUSP, only a few states are screening for it, and so we  
7 want to better understand, at least from the other states,  
8 as well as the states that are screening, what are the  
9 real-world challenges, opportunities, barriers,  
10 facilitator? And then to the best of our ability evaluate  
11 opportunity cost. Next slide.

12           So how do we do this? We restart and touched.  
13 We developed, among other things, a fact sheet from the  
14 states. The two states that you heard quite a bit from  
15 about how they're doing population in those states. From  
16 their information, we were able to develop and provide a  
17 webinar targeted to state programs, develop a survey to  
18 focus on each individual newborn screening program. I  
19 know it says states here, but there are territories and  
20 the District of Columbia that we have to keep in mind as  
21 well. Get that information as well as focus on in depth  
22 interviews from states that are currently screening as

1 well as states that are either screening any other  
2 conditions on that RUSP, any of the latest conditions, the  
3 latest four conditions on the RUSP, all of the conditions  
4 that have been recently added to the RUSP and then none of  
5 the conditions that have been added to the RUSP to get  
6 their understanding of what it's going to take and those  
7 barriers and facilitators to be able to implement  
8 screening for MPS II. And the last bullet there  
9 highlights the additional three states that we focused on.  
10 Again, it's the states that are screening for the last  
11 four conditions that were added to the RUSP, some that  
12 weren't -- that are not screening for all of them, and  
13 then some that screen for just some of them. Next slide,  
14 please.

15           So, results, again, I'm not going to say too  
16 much about the first two states here. We owe them a debt  
17 of gratitude for pretty much everything that they provided  
18 and shared with us. The State of North Carolina, we were  
19 told, has a IDIQ, what is it, an indefinite -- like it's a  
20 contract that was provided to them from an ICHDMIH through  
21 RTI in collaboration with the North Carolina newborn  
22 screening program to be able to do a pilot -- population



1 pilot screening for, I think over 140,000 babies born in  
2 North Carolina, and that hasn't started yet but I think  
3 its anticipated start date is sometime this year.

4 We heard a little bit about the New York Screen  
5 Plus activities. I should qualify that. We heard a  
6 little bit from the pilot that is occurring in several or  
7 a few hospitals in New York that Alex mentioned in his  
8 overview there.

9 And then the State of West Virginia has a  
10 legislative mandate to screen for MPS II but there is no  
11 current started anticipated date or a method of screening  
12 at the moment. Next slide, please.

13 So, these are the characteristics of the states  
14 that responded to us. And in total, I think -- well, no,  
15 I don't think, I know there were 42 newborn screening  
16 programs that responded in one way, shape or form. 37 of  
17 them specifically to the survey that we sent, and the  
18 additional five that were interviewed, includes the two  
19 that are currently screening for MPS II right now, and  
20 then the three additional states that I have highlighted  
21 quite a bit on. This is called the outsource either the  
22 newborn screening, whether it's in their state public

1 health program, regional laboratory, state university or  
2 contracted lab or commercial entity. Next slide.

3           And now we're getting to a little bit of the  
4 meat of this -- the survey, and most of this is already  
5 part of the report, the comprehensive report that you have  
6 as Committee members, but I'm just going to highlight a  
7 few things. The question that we ask here in the  
8 implementation challenges is, and bear with me, please  
9 indicate the following implementation factors for MPS II,  
10 and it highlights what are your major challenges, minor  
11 challenges, or what would not be a challenge?

12           And as you can see here, the overwhelming  
13 majority of states said that a minor or major challenge  
14 was to have the availability of a validated test, increase  
15 their fee or address the administrative challenges. And  
16 that is a number of things that I may -- I hope I'll be  
17 able to highlight just briefly at the end of my  
18 presentation.

19           A number of states, a majority of states did say  
20 though that they didn't see any identification of  
21 specialists or where they are going to refer folks that  
22 may have -- newborns that have MPS II into the medical

1 home in their state, which I think is something that we  
2 -- that we wanted to highlight as a part of this  
3 presentation. Next slide, please.

4 This is a little bit busy and certainly, I'm  
5 hoping that the colors show up there, but I'll highlight a  
6 few things here. This question was asked of the states  
7 that screen, that do newborn screening in their own state,  
8 as if they don't outsource the laboratory testing aspect  
9 of newborn screening and they do it in their state. The  
10 question is the following are considerations or different  
11 resources that are needed to provide or implement MPS II  
12 in your state. And let us know, you know, when or how  
13 long it will take to be able to provide those in your  
14 current state as it is right now.

15 Again, the majority of these states are non-  
16 screening for MPS II, so they're just hypothetically given  
17 us a sense so how long they think it will take. And as  
18 you can see here, the quantity and laboratory equipment to  
19 screen for MPS II, which I'll highlight a little bit more  
20 about, the sufficiency or the number of MPS staff to be  
21 able to notify and track results and LIMS system and  
22 adjustment for MPS II where they primary activities that a

1 number of states said that they weren't able to get within  
2 a year. But again, overwhelming majority of folks noted  
3 that they are going to be able to and they had full  
4 confidence they were going to be able to refer folks or  
5 newborns that have MPS II to, again, the medical home, a  
6 specialist or treatment centers, that availability in  
7 their states. And a few -- let me see here -- let's see,  
8 83 percent of the states said that they were also going to  
9 -- they don't have the protocols, follow-up protocols when  
10 it comes to MPS II. They can get it within a year, but  
11 certainly afterwards, it's possible. Next slide, please.

12           The question was the following various  
13 activities are needed by anyone screening program in order  
14 to implement MPS II. Now, implementation, in this case,  
15 is for those states that outsource their newborn screening  
16 laboratory testing to either another state or commercial  
17 entity. And the responses are similar to what we saw  
18 earlier for states that are already -- that are going to  
19 be bringing on MPS II to their state panels and screening  
20 for it and moving forward.

21           Let's see if there is anything to highlight  
22 about this. It's pretty much the same. A good number of

1 states are saying that they are able to fund and focus --  
2 refer these newborns to the medical home or specialist or  
3 treatment centers. LIMS adjustment is something that  
4 takes a little bit of time and I'll highlight some of that  
5 later. And then the number of staff, again, the  
6 sufficient number of staff to be able to report and track  
7 results, again, takes a good bit of time, at least a year  
8 in moving forward there. Next slide, please.

9           So, we wanted to get a sense of the barriers and  
10 facilitators, both ways, in adding a condition in this  
11 case, MPS II in state newborn screening programs. The  
12 question is, please indicate the degree to which these  
13 factors impede or facilitate your ability to adopt newborn  
14 screening programs in your state. As you can see here,  
15 the majority of states are focusing on either the non-  
16 newborn screening public health priorities, the estimated  
17 cost to newborn screening or screening for these  
18 conditions, specifically MPS II in this case, and then  
19 ongoing activities, and problematic activities, whether  
20 it's continuous quality improvement or additional other  
21 conditions, again, something that I'll highlight briefly.

1 Those three were either a minor or a major barrier for  
2 state newborn screening programs.

3 Facilitator, on the other hand, is a number of  
4 states note that advocacy in any way, shape, or form,  
5 hopefully directed advocacy does actually facilitate  
6 implementation, and in this case of MPS II in their  
7 newborn screening program.

8 And there was one other thing that I wanted to  
9 highlight as part of this. The expected cost benefit of  
10 screening in the state as well. When you added the major  
11 and minor facilitator there, it seems like a number of  
12 states noted that the expected cost benefit of screening  
13 for MPS II is a definite facilitator in newborn screening  
14 programs. Next slide, please.

15 So again, the survey chose, I think, part of  
16 what you have already, and so you can go deeper into the  
17 questions and the responses from states. But this  
18 question focuses on the estimated time it will take your  
19 newborn screening program to initiate MPS II in your  
20 state. And the majority of folks or states or newborn  
21 screening programs, 62 percent said that it would take one

1 to three years to implement MPS II newborn screening in  
2 their newborn screening program.

3 I would also like to highlight that about 30  
4 percent of those states said that it would take more than  
5 36 months or three years to be able to implement  
6 population screening in their program. Next slide,  
7 please.

8 So, I think Alex highlighted this and I want to  
9 be able to quickly get through this so that we can get  
10 through some of the discussions. Lessons learned from the  
11 two states that are currently screening for MPS II, they -  
12 - the two states strongly highlighted that there is a good  
13 separation between normal and affected for the laboratory  
14 test that is currently being used in those two states.

15 Alex also highlighted this, but the use of a  
16 second tier, GAGs, to reduce false positives was certainly  
17 something that they wanted us to highlight, and the  
18 ability to multiplex with other LSDs is an advantage, or  
19 it can be a barrier. It's a barrier or a challenge in  
20 some states if you are not screening for LSDs in the first  
21 place, and there are a good number of states that are not  
22 screening for any of the conditions or LSDs currently, and

1 so it will still be a barrier to be able to figure out how  
2 they are going to be able to bring this on or challenge in  
3 a traditional sense.

4           And then we've highlighted the LIMs or the  
5 laboratory information management system revisions and how  
6 long that takes in states. Sometimes it can take six to  
7 almost 18 months, depending upon the priorities in the  
8 states. And then how to handle variants of unknown  
9 significance in different states is something that they  
10 wanted to highlight as well. Next slide, pleas.

11           So, these are the lessons learned from the  
12 additional three states that we wanted to get the  
13 information from, and it certainly helps, at least, for  
14 the condition in question, in this case MPS II, to be on  
15 the RUSP for them to be able to do a number of things,  
16 whether it's increased their fee, rely on other kinds of  
17 activities and the readiness tool. These states -- a few  
18 of these states actually had a readiness tool and was able  
19 to move them from one phase to another quickly, and then a  
20 very logical proper way. The three states highlighted,  
21 among other things, the different challenges, including  
22 funding, hiring of staff, laboratory space and updating



1 their LIM system as a major barrier to adding a new  
2 condition, in this case, MPS II.

3           And then as noted a few times here, none of the  
4 programs actually were concerned about the challenges  
5 related to short term or follow-up access or access to  
6 treatment in their states. They were fully comfortable,  
7 at least as they responded to us, that this -- making sure  
8 that the newborns get into a medical home was not going to  
9 be a major barrier. Next slide, please.

10           So, the strengths of the public health system  
11 impact, I think we got a really good survey response in  
12 almost 80 percent. Again, the webinar fact sheet that we  
13 developed from the two states that are currently screening  
14 certainly was informational and helpful to be able to know  
15 what other states are currently doing. And then  
16 certainly, we were able to get a sense of how this  
17 particular conditioning, in this case, MPS II, where it's  
18 going to be implemented from the other state newborn  
19 screening programs. And certainly, the interviews and  
20 real-world experiences help quite a bit. Next slide,  
21 please.

1           Limitations, as you can imagine, these are all  
2 hypothetical. And again, I'm just going to focus on that  
3 last bullet. It's very -- I think that over  
4 generalization of what the newborn screening program does,  
5 where there are not -- the first two are already being  
6 able to do that, is something that we want to be very  
7 mindful of, you know. There are 53 newborn screening  
8 programs in one way, shape or form, and so there is some  
9 variation, certainly, in how those newborn screening  
10 programs are able to affect and implement newborn  
11 screening programs.

12           And then the second bullet there and the limited  
13 data on screening for MPS II, I think, which has been  
14 nicely highlighted by Dr. Kemper. Next slide, please.

15           Summary. Next slide. A majority of programs  
16 said that they will be able to implement or take at least  
17 one to three years to implement MPS II as part of their  
18 newborn screening population testing. Highlighted the  
19 variation of one newborn screening programs and then  
20 programs that have either implemented conditions that are  
21 already on the RUSP, especially those lysosomal storage  
22 disorders seem to be or may be in a better position to be

1 able to implement MPS II. And I think that is my last  
2 slide, but maybe not. One more.

3           The most commonly reported challenges, and I  
4 think this, we need to continue to highlight and emphasize  
5 is the ability to increase, among other things, newborn  
6 screening and administrative challenges, the staffing  
7 issues, whether it's hiring. And then the laboratory  
8 capacity for adding a new platform if they are not  
9 screening for an LSD or in their newborn screening  
10 programs.

11           And then I would be remised if I didn't  
12 highlight the competing priorities in state newborn  
13 screening programs and we've all gone through that,  
14 certainly over the last year. Next slide.

15           ALEX KEMPER: Yeah, I think that's the end of the  
16 presentation. So, you've now gone through the evidence  
17 review, the population health modeling, the public health  
18 system impact assessment, and the description of costs.  
19 You know, as I was listening to Mr. Ojodu go through his  
20 presentation there was one point in the evidence section,  
21 which I probably didn't highlight enough, but I did just  
22 want to bring up now. It's a small point, but it does

1 seem that the second-tier dried blood spot GAG testing can  
2 really drive down the number of false positives due to  
3 pseudo-deficiency. In the main report we referenced some  
4 laboratory work that's been done that should have add on  
5 dried blood spots, but I know that in the public health  
6 system impact assessment part there is a broader  
7 discussion of the role of second tier testing that I have  
8 in the evidence review part, so I just wanted to sort of  
9 close that circle.

10 Dr. Powell, I'll turn things back over to you.

11 CYNTHIA POWELL: Thank you. Thanks to all our  
12 speakers. We'll now open this up for questions and  
13 comments, first from Committee members followed by  
14 organizational representatives, and please use the raise  
15 hand feature and state your first and last names.

16 Let's see, I believe we have Cindy Hinton is  
17 going to be the ex-officio for the CDC member on the  
18 Committee today, and I see she has her hand raised, so I'm  
19 going to call on her first.

20 CINDY HINTON: Thanks, Dr. Powell. Hi, I'm Cindy  
21 Hinton, ex-officio member, CDC, and thank you very much to  
22 the evidence review group in bringing together all this

1 information for us to consider. I have a question about  
2 the drug label, and Alex, you did point out that on the  
3 label it is for pediatric patients five and over. They  
4 comment on, you know, no evidence of safety and efficacy  
5 for 16 months up to five. And then there's no information  
6 underneath 16 months of age.

7           Have any of -- have the states who have been  
8 doing the treatment out of newborn screening experienced  
9 any push back from insurance companies or did the  
10 Committee learn of any time that an insurance company  
11 looked at that and hesitated or, you know, you had to  
12 fight to get the treatment? Because when something is  
13 added onto the RUSP, it -- you know, insurance companies  
14 will the, you know, need to be in line to cover it. So, I  
15 just wanted to get some clarification on that issue.

16           ALEX KEMPER: Yeah, so Dr. Hinton, thank you very  
17 much for the question. That is something that we were  
18 interested in within the time that we have in our purview,  
19 we're not able to systematically assess the degree to  
20 which insurance coverage covers the enzyme replacement  
21 therapy or individual patient's access to it.

1           What I can tell you is that I emailed clinical  
2 experts in states where they provide therapy as well as  
3 another clinician in another state who -- where they  
4 dubbed it newborn screening and antidotally they said that  
5 insurance access wasn't a problem.

6           I think that, you know, you speak to two things.  
7 One, the FDA label, again, I'm not an expert in what it  
8 takes to change the label, but I know that the bar is high  
9 in the kinds of sibling studies that we described from  
10 what I understand wouldn't be sufficient in and of  
11 themselves to modify the label. And then there is also  
12 that ACNG practice guideline statement that didn't, at  
13 least, promote the use in individuals with asymptomatic --  
14 you know, whatever that is -- MPS II. But the long and  
15 the short of it is at least from this, you know, antidotal  
16 small sample, it seems like there is access to enzyme  
17 replacement therapy.

18           CYNTHIA POWELL: Jennifer Kwon.

19           JENNIFER KWON: Thank you. I'm Jennifer Kwon and  
20 I had a couple of questions for both Alex and Lisa. Alex,  
21 you define this new population of patients who aren't --  
22 they're not false positives, they're not clearly

1 diagnosed, but they're being followed because of, I assume  
2 they -- it's just not clear if they're going to fall into  
3 an attenuated or early case, or if they could be false  
4 positives. I was just curious, you said that in general  
5 the follow-up is for two years. What are they looking for  
6 and what are families told about their children's status?

7           ALEX KEMPER: So, thank you for the question. I  
8 can't comment on the conversations that clinicians have  
9 with the families, but I -- from what I understand, you  
10 know, it's the issue of, you know, slightly abnormal  
11 biochemical findings in line to err on the side of caution  
12 and just to make sure that there, you know, isn't -- you  
13 know, that it's not actually MPS II.

14           Antidotally, again, I think from what I've  
15 heard, at least from one state, they're -- you know, it's  
16 probably this way with everything, right, that there's  
17 some clinicians who are more apt to want to follow  
18 individuals to make sure with great certainty that there's  
19 nothing going on. So there probably is some individual  
20 clinician variation. But from what we're told it's really  
21 a matter of just following them along, maybe every six  
22 months or so just to make sure that there's no biochemical

1 abnormality that would point towards an early presentation  
2 of MPS II.

3 JENNIFER KWON: Okay. And just my second  
4 question was for Lisa. You put in your model lost to  
5 follow up, and I just can't remember if you've ever done  
6 that before for other disorders.

7 LISA PROSSER: That's a great question, and so I  
8 haven't come back and checked, and we've not included that  
9 typically before, but in this case, because it was a  
10 category that was reported and you know, I won't say to  
11 the same significance, but certainly in the same order of  
12 magnitude as the other categories about -- again, we're  
13 talking about outcomes directly following the screen and  
14 confirmatory testing, but if there were cases in there  
15 that turned out to be a diagnosed confirmed cases, then  
16 given, you know, the various small numbers we're looking  
17 at here, that it would change to some extent the results  
18 of the modeling. So, we wanted to be clear about  
19 reporting those as well.

20 JENNIFER KWON: Thanks.

21 CYNTHIA POWELL: Scott Shone.



1                   SCOTT SHONE: Thank you, Dr. Powell. Scott  
2 Shone, Committee member. So, I have a question each for  
3 Dr. Kemper and Mr. Ojodu, but I first just want to say the  
4 cost -- the cost thing just still drives me batty with  
5 this putting a dollar amount. The message coming out of  
6 this can't be only costing six dollars a screen for MPS  
7 II. That might be the cost to run a specific lab test,  
8 but the costs are substantially more than that. And  
9 again, I just say that and I apologize to be a broken  
10 record, but it doesn't take into account all the work that  
11 goes before a test is established, the immense follow-up  
12 work our team does, the education that has to precede, all  
13 the things that go that are never calculated, Scott Grosse  
14 and I agree to disagree on how to quantify that, and so be  
15 it, but I just felt obligated to say it's not two to six  
16 dollars. It's just not. That's not the cost to screen  
17 for MPS II, it's the cost to run a lab test perhaps here  
18 in North Carolina or anywhere else in the country.

19                   My question for Mr. Ojodu, I guess I also want  
20 to say, my fellow laboratorians are eternal optimists,  
21 because for the last three or four meetings we've had talk  
22 after talk about the challenges we face, hemorrhaging of

1 people, challenges recruiting, keeping -- we're losing  
2 geneticists, we're losing -- we've heard time and time  
3 again, but one to three years, boom, magic, all solved.  
4 We got this. And I think that we just need to realize  
5 that we -- that the survey is the ideal situation and it's  
6 been one to three years for every disorder I have ever --  
7 I've been doing newborn screening now for what, since 2000  
8 -- what, 2008. Every survey you guys have done has been  
9 one to three years.

10           So, I just think we all need to realize that's  
11 what the survey says but it's going to take us different  
12 times because different challenges. So, I appreciate the  
13 data but -- so my question for you, Jelili is, did it come  
14 up about multiplexing and LDT, because MPS II is not an  
15 FDA approved test yet, or FDA clear test yet. And so,  
16 multiplexing it is going to cause us to validate as  
17 opposed to verify. So, did that come up at all? And then  
18 I have a question for Alex.

19           JELILI OJODU: So, let me just go back to your  
20 first comment there, which is that is you're absolutely  
21 spot on. Since 2015, there have been four conditions  
22 added to the RUSP. 20 states screen for all four

1 conditions. That represents or that totals about 41  
2 percent of the babies born in the United States.

3 Your question was on multiplexing. Can you just  
4 repeat that again, what --

5 SCOTT SHONE: Just the challenges with  
6 multiplexing because right -- or maybe you know, are there  
7 tests coming -- maybe I should ask another question. Is  
8 there a test coming that will be FDA cleared that would  
9 make multiplexing easier, because it sounds like that's an  
10 advantage, but verifying versus validating aren't  
11 clinically -- clinical laboratory regulatory challenges,  
12 and I just wanted to know if that -- if that detail is  
13 flushed out in the assessment of how to add this on?

14 JELILI OJODU: It wasn't. I've been told that  
15 there is the possibility that a test is coming up, an FDA  
16 approved test, maybe, but again, this has to come through  
17 and a number of things have to happen before that does  
18 happen.

19 I think Alex described this very well. The one  
20 state that uses mass spec for newborn screening for MPS II  
21 currently screens for other lysosomal storage disorders,  
22 and another leukodystrophy plus lysosomal storage

1 disorders, so they go to multiplex on the traditional way,  
2 and certainly, I think when we say multiplex, it's just a  
3 little bit different when it comes to -- and again, most  
4 states are -- you know, they still don't screen for all  
5 the LSD stuff.

6 ALEX KEMPER: Do you have a --

7 SCOTT SHONE: I just have an actual question for  
8 you, Alex.

9 ALEX KEMPER: Can I just -- I just want to just  
10 follow up on Jalili's response to you before, Mr. Ojodu, I  
11 guess I should say for the record. You know, this issue  
12 of public health newborn screening program preparedness  
13 and readiness is really a very difficult thing to get to  
14 and I'd just like to remind the Committee that in order to  
15 first do our survey work, we have to have the OMB cleared  
16 survey instrument which takes about 18 months to get  
17 clearance and the cadence of these reviews are nine  
18 months. So, we're kind of stuck a little bit with the  
19 kinds of questions that we could do.

20 But APHL, I think has done some really clever  
21 things to be able to get to the heart of what you want in  
22 terms of honest appraisal or how long it takes things to

1 do. So, there's a webinar where states that are doing the  
2 screening talk about all the challenges that they've had  
3 and what they found works. And then the survey, itself,  
4 is completed by teams of individuals from each state that  
5 -- and that takes hours for them to do. And so, we're  
6 incredibly grateful for the state newborn screening  
7 programs that give us their feedback.

8 We're always open to thinking about other  
9 methods to be able to collect this information and to the  
10 degree that the Advisory Committee could, you know, come  
11 up with the statements, and we really want to know, you  
12 know, in reality, how long it's going to take to do  
13 things, but it's a very -- it's a very difficult thing to  
14 do. And so, if you can think of a better way for us to  
15 have the system to do it, we would be like a hundred  
16 percent open to that.

17 SCOTT SHONE: I wasn't criticizing, I just failed  
18 to --

19 ALEX KEMPER: Oh no, no, no, and I don't take it  
20 as criticizing, but it's just something that we talk a lot  
21 about and I just want to be clear about kind of the  
22 restrictions that we have.

1           Anyone, on to your question which I'm sure    now

2    --

3           SCOTT SHONE: And I'll just say that -- no, but  
4 I'll just say that, I mean, Dr. Tanksley is the APHL org  
5 rep, but I'm on the APHL Board of Directors and a member  
6 of the APHL newborn screening Committee, so I -- so I get  
7 it. Like I'm part of that and Jelili hears from me more  
8 than he wants to. I just want to share that how the data  
9 and the outcomes come out of the survey are critical  
10 because they get -- in isolation, they're different from  
11 the whole picture, and that's where my comments are, Alex,  
12 but let me just -- you had a slides on ACMG, practice  
13 guidelines on the Delphi and then your technical expert  
14 panel and what is appeared to me to be a disagreement in  
15 how to treat kids with different phenotypes, which is  
16 always at the heart of the concern of looking at these and  
17 how do you parse phenotypes.

18           And you made a comment, your last bullet on your  
19 slide is, parents can make informed choices as to when to  
20 start treatment. And I really worry about that statement  
21 and I wonder if you can help me understand a little more  
22 about that because I worry that parents going to different

1 clinicians will get different guidance, and as a parent  
2 who's had to make treatment decisions for my child, rely  
3 on the physician greatly because I've joked on this  
4 before, my grandmother will tell you, I'm not a real  
5 doctor, right? Dr. McCandless and Dr. Powell and you are  
6 the real doctors, right?

7           So, what I want to better understand is how does  
8 that not create an equity issue? It seems to me that  
9 depending upon where you can go, you're going to have  
10 equity issues if you go to different physicians with  
11 different treatment plans. I think SMA and the Cure SMA  
12 team and their guidance that came out with the pediatric  
13 neurology really nailed it to help us understand moving  
14 forward. And the progress we've made with SMA since its  
15 addition to the RUSP and identifying babies has really  
16 helped with clarity.

17           Can you help understand, like I don't think it's  
18 as simple as parents can make informed decisions.

19           ALEX KEMPER: Well, you know, so I'm going to go  
20 back and focus on sort of the evidence side of things  
21 because some of this, of course, is you know, initiative  
22 that you all are going to have to weigh in on. What I can

1 say is that I was surprised when I -- you just moved on my  
2 screens, but you're somewhere. Oh, there you are.

3           So what I can say is, I was surprised when I saw  
4 those treatment guidelines, because they really were  
5 different than what I've been told by experts, both in  
6 states that screen and in states that don't screen, and  
7 that's what led me to look at the material in the appendix  
8 where they had the comments from each ground of the Delphi  
9 panel, and it was very clear that there was a difference  
10 in perspective with a large number of people thinking like  
11 absolutely, you need to offer enzyme replacement therapy  
12 to all children with MPS II, and I don't know, because I  
13 wasn't involved with creating these guidelines, if that  
14 recommendation three was sort of a passive recommendation  
15 that meant sort of like don't automatically start it, or  
16 was a -- the way it's written, or a prohibition or a  
17 recommendation not to start.

18           It's hard for me to believe, reading the Delphi  
19 panel comments, that there is consensus on that statement  
20 three. Now, does that represent disagreements in the  
21 field about starting enzyme replacement therapy? That  
22 sort of goes beyond, again, what we can do with the



1 evidence review teamwork -- the evidence review process.  
2 I can tell you is that the technical expert panel all  
3 thought that at a minimum all families should be offered  
4 enzyme replacement therapy.

5           And then the other thing that's important, I  
6 guess a couple things. One is that it's not always  
7 possible to predict the phenotype at the time that a  
8 newborn is following up after screening, so that creates  
9 an issue. And then the other thing that we heard from the  
10 technical expert panel, and I think it came through in the  
11 sibling studies, is that children who go on to have the  
12 attenuated version of MPS II benefit from the somatic, you  
13 know, effects, and that individuals who are going to have  
14 the more severe or the neuronopathic form, even if it  
15 doesn't address the CNS aspects of MPS II benefit. And  
16 so, again, I think that there probably, you know, if this  
17 moves forward, work that needs to be done with individual  
18 states about having consensus about treatment. But from a  
19 pure evidence standpoint, you know, that's sort of what we  
20 found. I'm giving you kind of a circular answer a little  
21 bit because I don't want to weigh in too much with my own  
22 personal opinion.

1                   Did I get you anywhere close to something  
2 helpful? You're welcome. You're on mute, but I can  
3 figure that one out.

4                   CYNTHIA POWELL: Chanika Phornphutkul.

5                   CHANIKA PHORNPHUTKUL: Thank you. So, I actually  
6 have question for Dr. Kemper about the pseudo-  
7 deficiencies. So, if you look at the first study from  
8 Illinois, it looks like that was quite high compared to  
9 Missouri. Can you elaborate more the differences between  
10 that and those patients -- well, those cases, are they  
11 lumped into false positive and are there ways that the  
12 newborn screening lab can do to prevent that? I think we  
13 encounter this very commonly in MPS I newborn screening  
14 and it is a source of, you know, kind of challenges, and I  
15 was struck by the differences between two labs, and maybe  
16 this is something that we can build on. Thank you.

17                   ALEX KEMPER: Yeah, thank you. Thank you very  
18 much for your question and welcome to the Advisory  
19 Committee. So, I think that the difference here is the  
20 use of dried blood spot GAGs to determine whether or not  
21 an individual might have pseudo-deficiency or MPS II. So,  
22 I think the difference is in the use of the second tier,

1 and that's why I brought up those comments before, I  
2 really should have emphasized that earlier.

3           And then getting to your question in terms of  
4 the modeling, pseudo-deficiency that leads to referral, if  
5 that were to happen, still would be considered to be a  
6 false positive. But again, second -- hopefully, you know,  
7 and this would correspond everything that we've seen, the  
8 second-tier test with -- for GAG would really decrease the  
9 number of infants with pseudo-deficiency were ultimately  
10 referred to specialty care. You're welcome.

11           CYNTHIA POWELL: Before we go on, I just want to  
12 announce that our Committee member, Kamila Mistry,  
13 representing the Agency for Healthcare Research and  
14 Quality had some connection problems this morning but is  
15 on with us now by phone, at least, and hopefully will let  
16 Mia know if she has any questions.

17           Let's see, Cindy Hinton, did I see your hand up  
18 again or --

19           CINDY HINTON: You did, but my question was  
20 addressed.

1                   CYNTHIA POWELL: Okay, great. All right. Let's  
2 see, I don't see any other Committee member's hands  
3 raised, so how we'll be able to go to Bob Ostrander.

4                   ROBERT OSTRANDER: Yes, hi, I'm Bob Ostrander,  
5 American Academy of Family Physicians. Thank you, guys,  
6 for a great presentation. I've been involved with this  
7 for a long time and I'm just so incredibly impressed at  
8 how our discussions and the process has evolved over those  
9 years, much easier to follow, much easier to wrap our  
10 heads around, I think.

11                   Just as everybody probably knows, but I got into  
12 this world because I was part of a medical home movement  
13 for children and youth with special healthcare needs, and  
14 that kind of is always a lot of the prospective of what I  
15 bring, and I'm the follow-up and treatment workgroup. And  
16 as I think people know from previous meetings, one of our  
17 focuses has been to suggest going forward that the  
18 evidence review process look for information, and ideally  
19 from submitters of the nomination, a general blueprint of  
20 what longitudinal follow-up might look like.

21                   I have a picture of what that might mean for MPS  
22 II, but I'm reluctant to assume things for obvious

1 reasons. You know, Dr. Ojodu mentioned that the labs  
2 don't have big concerns about the availability of  
3 treatment and getting medical homes set up for these kids,  
4 but I'd like to know if that's been looked into a little  
5 more than just asking if folks have concerns. As we heard  
6 from the oral testimony this morning, these patients,  
7 these children, clearly going forward will have a lot of  
8 needs, even the ones treated that are addressed by, you  
9 know, the traditional form of medical home as outlined in  
10 the medical home index going forward, and I don't know if  
11 anybody's asked the question of Dr. Kemper, you know, what  
12 that picture looks like. It's a narrow enough and rare  
13 enough disease, I would think that the specialty care  
14 would be at specialty centers and that's pretty well  
15 established, although you're going to certainly diagnose  
16 more children and diagnose more early. I don't think it  
17 would be -- you know, I think it's okay to assume that the  
18 capacity will be okay because that may be in rural areas  
19 for that. And I wonder if anybody's thought about the two  
20 components of longitudinal follow-up, number one, who's  
21 going to gather data, not just on disease progression but  
22 the other data that we've talked about in the various

1 papers that our workgroup has published about longitudinal  
2 outcome, both medically and with all the other, again,  
3 things that are in the medical home index, but also how  
4 the interaction between the specialty center and whatever  
5 the medical home is, and sometimes that is a medical  
6 center, both in terms of medical care for non-condition  
7 related things and also more importantly coordination with  
8 all the other medical home functions with DME, school,  
9 community, rehab and then all that. I really continue to  
10 feel strongly that someone should have a vision of that,  
11 what the capacity is there and how the education will be  
12 transmitted to primary care physicians at the time that  
13 these things are added to the RUSP.

14           ALEX KEMPER: So those are all great questions.  
15 I appreciate that very much and of course, as a primary  
16 care provider, I -- I -- you know, your question kind of  
17 speaks to me as well. I think there were really, if I can  
18 unpack your questions a little bit, there's really two  
19 different things that were in there. One is the -- you  
20 know, the kind of surfaces that an individual identified  
21 with MPS II through newborn screening would need, and when  
22 I think about this for the evidence review process, it's

1 really what kind of services does a child with MPS II got  
2 diagnosed through newborn screening need versus the kind  
3 of services that an individual with MPS II would need if  
4 they come through usual clinical detection.

5           And so, if you look at the sibling studies, I  
6 think that's where the most helpful information comes  
7 from. So, newborn screening identified cases will likely  
8 go on, at least in the United States in 2022 would receive  
9 weekly enzyme replacement therapy, and that would, you  
10 know, presumably at this point again, go on for the life  
11 of that individual. A case that is later detected will  
12 also have to receive the same therapy

13           So, the differences is if you look at the  
14 sibling studies, it does seem that there's this like  
15 difference in all the other services that individuals  
16 might need. So, if there's, you know, if you believe that  
17 there are those benefits of early intervention, then the  
18 ability to self-care, to ambulate, to not have some of the  
19 other long-term sequelae of MPS II are there. So, I think  
20 it's really thinking about the difference between, again,  
21 the child that was identified through newborn screening  
22 versus the child that gets diagnosed later.

1           There is no doubt that this is an intensive  
2 therapy requiring equally infusions, and although, you  
3 know, once the child is stabilized and, you know, and the  
4 ability to tolerate enzyme replacement therapy is known,  
5 home infusions can be done. It's still a really intensive  
6 therapy.

7           The second question that you brought up though  
8 is about outcome measures. And I think Dr. Prosser did  
9 and especially good job of pointing out the kind of  
10 information that we would need to know long term to be  
11 able to quantitatively understand the difference between  
12 early detection versus clinical case detection. And in  
13 the report, and this is the first time we've done this,  
14 Dr. Prosser outlined exactly the kind of measures that  
15 would be helpful to understand the long-term benefit of  
16 early detection versus later detection based on what we  
17 know of the disease course and the studies that are out  
18 there.

19           So, does that get to your questions, Dr.  
20 Ostrander?

21           ROBERT OSTRANDER: Yeah, I think it does. I  
22 hadn't kind of phrased it in terms of only looking at the



1 delta between the clinically diagnosed and the newborn  
2 screening diagnosed patients, and you know, perhaps the  
3 fact that we're already doing what we do with clinical  
4 diagnosed patients means that nothing needs to be built  
5 into or designed because, again, the early diagnosed  
6 patients probably need fewer services. Nevertheless, I'm  
7 going to continue to repeat myself at every meeting just  
8 like Scott does about his thing, that the more we're  
9 explicit about this instead of assuming about it, the  
10 better the more advanced medical home system we'll have,  
11 and I continue to be overall, not just for this condition,  
12 obviously, relatively underwhelmed about how systematic we  
13 provide medical home for kids with complicated needs and  
14 how much we do it ad hoc, patient by patient and practice  
15 by practice, especially in the primary care world. So,  
16 I'll get off my soapbox.

17 ALEX KEMPER: So, noted.

18 CYNTHIA POWELL: Sabra Anckner.

19 SABRA ANCKNER: Hi, Sabra Anckner, Organization  
20 for AMCHP. So, I just want to thank everybody for their  
21 work on this, but most especially the patients and  
22 families who shared their stories. I kind of almost, I

1 think, want to kind of combine some of Dr. Ostrander and  
2 Dr. Shone's observations from the public health system's  
3 perspective. One is, I know at the last meeting it was  
4 requested to have some information about ethnic and racial  
5 data for people with pseudo-deficiencies. It doesn't  
6 sound like that was available, and I think that that is a  
7 problem. That information is out there. We know who  
8 those kids are because they had follow-up testing. This -  
9 - we have seen, as was mentioned with MSP I, a  
10 disproportionate impact on certain populations with  
11 pseudo-deficiencies, which means that our tests are  
12 inadequate and are not working for those families. We  
13 know that false positives in pseudo-deficiencies cause  
14 harm and by continuing to note even explore that, it's  
15 really, I think, problematic, and goes towards the equity  
16 conversations that we're starting to have but aren't  
17 really fully really addressing. Like we still want to say  
18 well, we screen every baby, and it's like we don't because  
19 the test doesn't work the same for every baby.

20 So, and on that, the cost of programs, as Scott  
21 was saying, are so much greater than the cost of what it  
22 takes to run the test, especially when there are so many

1 unknowns, you know, this is sort of an opportunity to  
2 combine the worse parts about MPS I and the worse parts of  
3 XELD, which is you've got an unknown number of pseudo-  
4 deficiencies that are going to occur in populations where  
5 we don't know which ones they will be, and you've got an  
6 X-link disorder where there's going to be an unknown  
7 impact and expectation for following up on extended family  
8 members, older siblings, great uncles.

9           And so that costs time and money and requires  
10 expertise within the system. You know, short term follow-  
11 up doesn't just call out results, right, they educate  
12 providers. They work with their consultants. The  
13 consultants, themselves, are overwhelmed. They don't get  
14 asked about -- they didn't get asked if they were prepared  
15 for this, you know, for patients that they may have to  
16 follow for up two years, maybe longer, with indeterminate  
17 results, and this -- you know, and I think the challenging  
18 part is when we say things like this it is easily framed  
19 as not caring about the outcomes for the kids who are  
20 affected. And the challenges when you're in the public  
21 health system, you are screening every baby, and you have  
22 to think about the results and the response for every baby

1 for every disorder. Most programs continue to not meet  
2 the timeliness standards for time critical conditions.

3           And so, what I want to say with all of these  
4 federal funders, on this call with all of our federal  
5 agencies on this call is newborn screening programs need  
6 money, they need support, they need technical assistance,  
7 they need technical assistance when systems of care, as  
8 Dr. Ostrander was discussing, with Title V, with CYSHCN  
9 Programs, with academic medical centers. These things  
10 need to be integrated better. There have been billions  
11 and billions of dollars that have gone to the public  
12 health labs in the last two years as it should have to  
13 build up systems for phrenology, but we need this -- you  
14 know, newborn screening is not going away, and the  
15 optimism that Scott speaks of is really the pressure to  
16 -- we have to save every baby if we can save every baby,  
17 but it's not sustainable, it's not possible if things fall  
18 through the cracks. And we will continue to see that as  
19 we continue to add more and more to the panels without  
20 actually providing anymore support, physically through  
21 staff and through technical assistance to the programs  
22 themselves.

1           ALEX KEMPER: Great, thank you. I just want to  
2 -- and you know, I appreciate the comments that you made,  
3 and I do worry about harm. That's something that we think  
4 a lot about and it's hard to find the specific elements  
5 about that. I just wanted to add in a couple things. One  
6 is, I think it's the pseudo-deficiency for MPS II that's  
7 probably less of a problem with the second tier GAG  
8 testing, but it is those patients with the, you know, kind  
9 of slight biochemical abnormalities that are being  
10 followed. They're probably, you know, sort of bear more  
11 thought. And you can see the magnitude of these issues in  
12 the report.

13           The cost, and again, I just want to go back to  
14 the previous questions about cost just so that we're clear  
15 on it. So, our charge is to figure out the costs to the  
16 lab for implementing the screening. And so, you know,  
17 they were based on information that they provided to us  
18 regarding the equipment and the reagents and those kinds  
19 of things.

20           The short-term follow-up in terms of adding to  
21 the cost is relatively small because it's just, you know,  
22 on the order of like a few cases per 100,000. But we

1 don't -- you're entirely correct that we do not estimate  
2 the cost to the clinical systems, and we just don't really  
3 have the capacity or the time to do that, which is, you  
4 know, part of why it's not in our charge.

5           The one thing that we did hear from programs  
6 though, at least for MPS II follow-up is that they're  
7 using the same experts, the same clinical resources that  
8 they were using for other similar metabolic conditions, so  
9 they're able to follow on those pathways. I don't know if  
10 that answers your questions or not, but I just wanted to  
11 reflect back on those things.

12           SABRA ANCKNER: Yeah, I mean, I ran a program,  
13 right, so I can tell you that it's more than just the  
14 abnormal results, rights? It's -- you know, that's not  
15 just -- that's not the only thing that happens in short  
16 term follow-up is literally calling out the results. So,  
17 it is a bigger burden than that, and, you know, as for the  
18 clinicians, I think, again, yeah, it is easy to say like  
19 we'll just go to the guys we're already going to, but as  
20 we learned in the workgroup meetings last time, the sub-  
21 specialists are going no, right? I mean, they're the ones  
22 that are saying that, so it's just not reflected in this.

1                   And the other thing that I wanted to say is that  
2 with the uncertainty about the clinical guidance on the  
3 treatment means your insurance will be deciding for you  
4 what your treatment pathways are.

5                   CYNTHIA POWELL: Sean McCandless.

6                   SHAWN MCCANDLESS: Thank you, Shawn McCandless,  
7 Committee member. I just want to respond to both what Ms.  
8 Anckner and Dr. Ostrander were saying that the reality is  
9 that in terms of follow-up, we're taking care of these  
10 patients anyways. So, the fact that the numbers look a  
11 little different, it reflects the ascertainment bias in  
12 the symptomatic, you know, counting symptomatic patients.  
13 But the patients, there's not going to be more patients  
14 with MPS II as Dr. Ostrander said, if they get on  
15 treatment, they're likely to be easier to manage and  
16 require less intervention than if they're not being  
17 treated. But regardless, we're going to be taking care of  
18 them either way. So, I don't want to discount the burdens  
19 on the newborn screening lab, but as a clinician who takes  
20 care of these patients, it doesn't seem to me that there's  
21 -- that this is a big problem. The numbers are small.  
22 We're already taking care of these patients anyways, and

1 frankly, I'll just -- I think I speak for my colleagues  
2 when I say we would rather have them healthy and on  
3 treatment than when they're -- than coming to us when  
4 they're already having multiple surgeries, severely  
5 affected and very challenging to take care of.

6 CYNTHIA POWELL: Debra Freedenberg.

7 DEBRA FREEDENBERG: I am Debra Freedenberg. I  
8 just wanted to speak to comments on costs and system  
9 costs, but other folks have already addressed that. There  
10 are two things that I'd like to just comment on. One is  
11 on the clinical practice. Clinical evolves because of  
12 guidelines out there and there's a lot of dissension in  
13 terms of whether it's the best path forward. Folks are  
14 going to treat them clinically as the way they -- their  
15 best judgment is. And so, something that came out in 2018  
16 may not be relevant in 2022 because practice evolves and  
17 continues to evolve.

18 The other question that I had was kind of a  
19 theoretical one for Dr. Kemper. The data that's presented  
20 in an MPS II, we know that ERT does not cross the blood-  
21 brain barrier, however, the data suggests that those that  
22 are on ERT treatment either stabilize their cognitive



1 decline or don't have it if treated early. And I was  
2 wondering if there was any point about the mechanism about  
3 which to decrease (unintelligible).

4           ALEX KEMPER: Yeah, so that's a great question.  
5 What I can tell you is that it does seem that at least  
6 some of the enzyme does cross -- that's why I use the  
7 term, significantly cross, but the amount is not great  
8 that crosses. I'll tell you that on the evidence review  
9 side of things, I try not to -- I try not to figure out  
10 like the pathophysiology of why something might make a  
11 difference, but what I can tell you from talking to  
12 families is that having, you know, a child that can better  
13 ambulate and take care of things like toileting and those  
14 kinds of things, even if it's not dramatically affecting  
15 the CNS involvement, will just naturally lead to  
16 differences in, you know, some cognitive skills, those  
17 kinds of things. That's not a very scientific answer, but  
18 that's sort of how I interpret it.

19           DEBRA FREEDENBERG: And then my last question is  
20 just a comment you just made, and that it's the -- they're  
21 looking at the cost estimate for the lab. Is it the lab

1 or is it the newborn screening program for the cost  
2 estimate?

3           ALEX KEMPER: Yeah, I -- really, it's -- and we  
4 talk so much about the cost. I don't know if there's a  
5 way to bring Dr. Grosse into the conversation because he  
6 might be able to add some additional color commentary  
7 beyond what I'm going to say, but it's the cost of the  
8 lab, the LIM system, you know, the issues, the short-term  
9 follow-up, those kinds of -- you know, like the whole  
10 package. So not just the actual test itself, but again,  
11 there are so few infants that end up getting referred from  
12 newborn screening that it just doesn't appreciably change  
13 the range of costs.

14           And now, ladies and gentlemen, we have a real  
15 health economist and I'm going to have him add some  
16 additional comments.

17           SCOTT GROSSE: Thank you, Alex. The  
18 conversations we had with the two screening programs in  
19 Illinois and Missouri, but that they did not experience  
20 any need for additional follow-up staff to engage in these  
21 activities. All of our estimates are based from those two

1 programs. That does not mean that programs in other  
2 states might not experience additional costs.

3 CYNTHIA POWELL: Next, we'll hear from Melissa  
4 Parisi, who hasn't had a chance to comment or ask a  
5 question yet.

6 MELISSA PARISI: Thank you very much. This is  
7 Melissa Parisi from NIH and NICHD, and I just want to  
8 thank you all for your really thoughtful presentations and  
9 I've enjoyed hearing some of the details as you've  
10 presented them and recognize that with these rare  
11 conditions, you know, the data that you have are the data  
12 that you have and sometimes there are limitations in being  
13 able to extrapolate.

14 I also wanted to share with everybody just an  
15 announcement in case folks were not aware, that our  
16 institute, the Child Health Institute, NICHD, is actually  
17 supporting a pilot project to screen for MPS II in North  
18 Carolina and that's a contract to RTI with the goal of  
19 actually starting screening probably around April. The  
20 team is currently in preparation, creating all the systems  
21 and procedures, IRB approvals, et cetera, and working  
22 closely with the North Carolina State Laboratory of Public

1 Health to accomplish screening for MPS II in North  
2 Carolina. So that will also add to the data on the  
3 condition. The goal is for them to screen 140,000 babies  
4 over the next two years. Thank you.

5 CYNTHIA POWELL: Thank you. Jennifer Kwon.

6 JENNIFER KWON: Thanks for letting me speak  
7 again. I just -- I think it does bother me a little bit  
8 thinking about the patients who are lost to follow up,  
9 because I think it gets back to the issue of equity. I  
10 think that it is a burden on families who are diagnosed  
11 with this disease. It really sounds like a very -- I  
12 mean, I think that for the families that have lived  
13 through it and who are making the sacrifices to have their  
14 children treated, it seems like it's well worth the  
15 effort. But I think that we have to remember that in a  
16 population-based program, we are going to see a wider  
17 range of people and responses, and you know, social  
18 factors than maybe we're seeing in families who have, you  
19 know, two siblings with MPS II.

20 And so, I just wonder -- that was the basis for  
21 my questions about what's involved with, you know, follow-

1 up of ambiguous results and why did five people get lost  
2 to follow-up, what was going on with that?

3           The other thing that I'll say is that it would  
4 be really a great time for the MPS II community to tighten  
5 up their guidelines for treatment, because as someone who  
6 routinely prescribes medications, two medications which  
7 are on the top ten most expensive medication list, I  
8 notice that your enzyme replacement therapy is also on  
9 that list, and I will tell you that insurers do care what  
10 the FDA approves, and they do care about expert  
11 guidelines.

12           And I think that the final thing that I would  
13 point out is the New Steps Program, the website is just  
14 terrific, and I take a look at it a lot, and I have  
15 noticed that MPS I and Dr. McCandless pointed out that  
16 it's going to be the same set of players, really, who are  
17 taking care of patients with MPS II, but many states -- I  
18 think I counted like 20 or so states have not approved MPS  
19 I for newborn screening, and I think it's interesting that  
20 there's a similar lag in states for some of the disorders  
21 like X-linked DLD and Pompe disease, whereas if you look  
22 at the map for SMA, the uptake by states has been very

1 different, even though the treatments are quite expensive,  
2 and I think that we have to think about what happens in  
3 that short term follow-up visit when we give people  
4 results and tell them what the next steps are. There are  
5 families who may find it very difficult to understand that  
6 the next step is another visit for more testing to be told  
7 again that there's another step, and then you do it all  
8 over again. Whereas, what I would say would be SMA  
9 follow-up, is that it is a much simpler conversation. I  
10 mean, it's a lengthy, complicated conversation and it  
11 takes hours, and this is SMA. But it is relatively simple  
12 compared to what the metabolic geneticists have to go  
13 through when they're counseling patients about MPS II,  
14 especially if they're in a state without second tier  
15 testing.

16           And I think that no matter what the labs say and  
17 what to do, that must play a role in the uptake of these  
18 disorders in state newborn screening programs as well.  
19 So, I would encourage you to look at those maps and ask  
20 yourself, are the states kind of voting with their feet,  
21 and what are the pieces that we're missing when states,  
22 despite it being years after, you know, Pompe was approved

1 or X-ALD was approved, why are so many states still not  
2 adding it to their list of diseases that they screen?

3 ALEX KEMPER: I really appreciate the points that  
4 you've made, and I think this issue of like lost to  
5 follow-up is such an important area for study, but of  
6 course, you know, we don't have that information. But I  
7 would love to know, you know, what the factors are that  
8 lead to it.

9 And then the other thing -- I didn't bring this  
10 up before -- but I was thinking about, you know, because  
11 this is all relatively recent, how much things like the  
12 pandemic played into that as well. So, you know, there  
13 are so many different things that could be leading to --  
14 and I completely agree about the points you made in terms  
15 of, you know, they need to ensure a follow-up, and if  
16 people aren't following up, why is that and the risk for  
17 health disparities related to those things. These are all  
18 like areas that are really, I think, ripe for study.

19 CYNTHIA POWELL: Thank you. Before we move on to  
20 our next session, I'd just like to once again thank Dr.  
21 Kemper, Dr. Prosser, Mr. Ojodu for your presentations and

1 very thoughtful discussions and to all of the members of  
2 the evidence review group and experts for their input.

3

4 **COMMITTEE REPORT: NEWBORN SCREENING FOR MPS II**

5 CYNTHIA POWELL: And with that, I'd like to go  
6 to, I think we have a few slides on the matrix. So, for  
7 each condition voted the full evidence-based review, two  
8 Committee members are selected to serve as liaisons to the  
9 ERG. These Committee members are tasked with developing a  
10 report summarizing the evidence review, forming a  
11 recommendation for the condition rating and overall  
12 Committee recommendation and assisting the Chair in  
13 leading Committee discussion.

14 Before I turn it over to Dr. Jane DeLuca and Dr.  
15 Shawn McCandless, I want to give a very brief overview of  
16 the decision matrix. I know many of you have seen this  
17 many times before. We do have two new members of our  
18 Committee, and although they heard about it during their  
19 orientation session, we also have some members of the  
20 Committee who have not been serving for that long where  
21 this is really the first condition that we've considered.



1                   So, I'd just like to go over, if we look at  
2 under the orange box, net benefit, and certainty, to think  
3 about the significant benefit decision and the certainty  
4 of that. So, the A1 rating or the A rating means that  
5 there is high certainty that screening would have a  
6 significant benefit. And if we think about the net  
7 benefit, that would be the total of all the benefits minus  
8 any risks that we see.

9                   And there is the B rating, which is that there  
10 is moderate certainty that screening would have a  
11 significant benefit. There is also the public health  
12 readiness and feasibility that would be ready -- that most  
13 public health departments are ready to start screening,  
14 that they have developmental readiness but would generally  
15 take one to three years to begin screening, or that they  
16 are unprepared for screening. And then whether or not  
17 there is feasibility to perform or implement population  
18 screening.

19                   So, the Advisory Committee first assesses the  
20 magnitude of net benefit, and then the certainty about the  
21 evidence. After this assessment readiness and feasibility  
22 from a state public health program perspective are

1 assessed. This two-step process is used to guide Advisory  
2 Committee recommendations, to assure clarity and  
3 transparency. The Advisory Committee assigns codes in  
4 this process, which are then used in the development of  
5 recommendations. May I have the next slide, please.

6           The Advisory Committee adheres to the following  
7 principles in developing recommendations: Recommendations  
8 are evidence-based. There must be scientific evidence  
9 that screening leads to improved outcomes and that these  
10 benefits outweigh the harms of screening, that outcomes  
11 that matter most are the health benefits to the individual  
12 being screened.

13           The overarching goal of screening is to improve  
14 the health-related quality of life of newborns.  
15 Recommendations take into account the readiness of state  
16 public health systems to begin comprehensive screening and  
17 the feasibility of either beginning such activities or  
18 developing the ability to do so. Readiness assesses the  
19 current ability to implement comprehensive screening.

20           Feasibility assesses the resource needs for  
21 effective comprehensive screening, including a general

1 estimate of cost to adopt screening for the condition  
2 under consideration. Next slide, please.

3           Using this part of the matrix the Advisory  
4 Committee assigns one code to rate the evidence. A, there  
5 is high certainty that adoption of screening for the  
6 targeted condition would lead to a significant and  
7 substantial net benefit. B, there is moderate certainty  
8 that adoption of screening for the targeted condition  
9 would lead to a significant and substantial net benefit.  
10 C, there is high or moderate certainty that adoption of  
11 screening for the targeted condition would lead to a small  
12 to zero net benefit. D, there is high or moderate  
13 certainty that adoption of screening for the targeted  
14 condition would lead to a negative net benefit. And L,  
15 there is low certainty regarding the net benefit from  
16 screening. Next slide, please.

17           Once each of the readiness and feasibility  
18 ratings are assigned, the Advisory Committee uses the  
19 following public health capacity matrix dimensions to  
20 assign readiness and feasibility ratings of public health  
21 department newborn screening programs.

1                   Number one is that most state public health  
2 departments are ready to begin comprehensive screening and  
3 screening has high to moderate feasibility. Two, is that  
4 most public health departments have developmental  
5 readiness and screening, has high to moderate feasibility.  
6 Three, is that most state public health departments are  
7 unprepared to begin comprehensive screening and screening  
8 has high to moderate feasibility. And four,  
9 implementation of screening for the targeted condition has  
10 low feasibility. Next slide.

11                   Are there any questions regarding the matrix?  
12 Okay. Before introducing Dr. DeLuca and Dr. McCandless, I  
13 will remind organizational representatives that unless  
14 otherwise directed, the deliberation that follows this  
15 presentation will be for Committee members only.

16                   Dr. Jane DeLuca is an associate professor and  
17 has been at the School of Nursing at Clemson University,  
18 South Carolina, since 2012. She has a clinical  
19 appointment at the Greenwood Genetics Center in the  
20 Metabolic Clinic caring for newborn screening patients and  
21 others with inborn errors of metabolism. Dr. DeLuca has  
22 worked in newborn screening as a nurse practitioner since

1 1999. Her research interests include parents and  
2 families' experiences of newborn screening.

3 Dr. Shawn McCandless is professor of pediatrics  
4 and Section Head for Genetics and Metabolism at the  
5 University of Colorado, Denver School of Medicine, and  
6 Children's Hospital of Colorado. He is a past president  
7 of the Society for Inherited Metabolic Disorders. He  
8 served on the Ohio Department of Health Newborn Screening  
9 Advisory Counsel for 12 years prior to moving to Colorado.  
10 His research has focused on inborn errors of metabolism  
11 and Prader-Willi syndrome. He is a fellow of the American  
12 College of Medical Genetics and Genomics and is active in  
13 SIMD, the Society for Inherited Metabolic Disorders, and  
14 the American Society for Human Genetics. And first we'll  
15 hear from Dr. DeLuca.

16 JANE DELUCA: Thank you, Dr. Powell. First, we'd  
17 like to thank the evidence-based review group, Dr. Kemper,  
18 and Dr. Lamb for their incredibly detailed work in  
19 reviewing and presenting evidence for the disorder MPS II.

20 So, we're tasked as liaison to the evidence-  
21 based group as Committee members. So, we do get to see  
22 the evidence as it evolves over the course of evaluating a

1 particular disorder. I would also like to thank the HRSA  
2 team for all their support and also to thank Dr.  
3 McCandless for his guidance and wisdom in furthering my  
4 understanding of research and evidence of MPS II. Next  
5 slide, please.

6 So, Dr. Powell gave us a nice overview of the  
7 decision matrix and I just wanted to talk about how our  
8 presentation is going to sort of roll out this afternoon.  
9 We're going to talk a little bit about and review some of  
10 the information that we've already discussed that was  
11 presented by the evidence-based group in terms of the  
12 disorder, itself. And then we're going to get to some of  
13 the core issues in terms of understanding this level of  
14 certainty for net benefits as newborn screening for the  
15 identification of children affected by MPS II. So, our  
16 emphasis is going to be in that area. We will also touch  
17 on feasibility and state's readiness later on in the  
18 presentation. Next slide, please.

19 We've just seen this in terms of the matrix.  
20 So, we can move on to the next slide, please. So  
21 Mucopolysaccharidosis type II is an X-linked lysosomal  
22 storage disorder. It affects primarily males with

1 occasional development -- occasional identification of  
2 symptomatic females. Variants of the IDS gene lead to the  
3 dysfunction of the enzyme iduronate-2-sulfatase with the  
4 accumulation of glycosaminoglycans, specifically dermatan  
5 sulfate and heparan sulfate in organs.

6 Glycosaminoglycans, or GAGs are long polysaccharides that  
7 are essential in many processes in the body such as cell  
8 adhesion, tissue repair and immune response and other  
9 actions. When there's an impairment of the enzyme, the  
10 GAGs become accumulated within cells and organs.

11           The prevalence in Japan and Taiwan for the  
12 disorder, if we put it at 0.84 to 1.07 per 100,000 births,  
13 and in the U.S., it's estimated to 0.26 per 100,000  
14 births. And the difference in prevalence is probably due  
15 to reporting and ascertainment methods for the clinical  
16 identification of the disease.

17           Now, in our newborn screening programs that are  
18 offering screening at this point for Missouri and  
19 Illinois, it's about 1.2 to 1.6 per 100,000 births. Next  
20 slide, please.

21           MPS II is screened by enzyme analysis. There  
22 are two methods reported for the detection of the

1 disorder, the fluorometric method and tandem mass spec.  
2 As it had been noted, second tier examination of dried  
3 blood spot GAGs can reduce the call outs for pseudo-  
4 deficiency.

5 In confirming diagnosis, repeat enzyme assay is  
6 necessary and there's measurement of another sulfatase to  
7 rule out multiple sulfatase deficiency. In terms of  
8 molecular analysis hemizygous pathogenic variants or  
9 deletion in IDS gene can be identified, but this tends to  
10 be less useful in predicting a clinical course.

11 Biochemical profile of urinary GAGs in detection  
12 can also help in terms of identifying the condition and  
13 family history is important as well as carrier detection  
14 through siblings or affected individuals. Next slide,  
15 please.

16 Classification for cases of MPS II can be severe  
17 or attenuated. Severe is early childhood onset with  
18 neurological deterioration. And attenuated cases have a  
19 later onset and somewhat slower progression, but all  
20 patients appear to have some degree of neurological  
21 involvement. However, adults could have normal cognition.



1                   Symptom offset can occur by 2.7 years for severe  
2 phenotype and 4.3 years for attenuated phenotype. As we  
3 know, the symptoms can include hearing loss, progressive  
4 change in appearance and large liver and spleen, joint  
5 stiffness, mobility issues, abdominal hernia and large  
6 tongue, tonsils and adenoids, cardiac valve disease,  
7 developmental and fine motor issues, and behavioral  
8 concerns. Presentation of progression of symptoms can be  
9 variable. Next slide, please.

10                   For treatment, there is symptomatic treatment of  
11 different presentations and problems that occur with the  
12 disorder and appropriate therapies, including surgeries,  
13 but one of the mainstays is the weekly enzyme replacement  
14 therapy, Elapses, which was approved by the FDA in 2006.  
15 This consists of an ID infusion over several hours. Many  
16 patients will develop antibodies, and many have reactions  
17 that are manageable. It's a rare case where the reactions  
18 are so severe that infusions need to be discontinued.

19                   Investigational therapies can include  
20 hematopoietic stem cell transplantation, central nervous  
21 system delivery of ERT. Investigational ERT has been  
22 proposed to penetrate the CSS, which is another treatment.

1 Insufficient evidence, however, is available regarding the  
2 potential benefit of gene therapy. We have the published  
3 ACMG guidelines from 2020. Next slide, please.

4 ERT therapy appears to be associated with a  
5 moderate delay in mortality and reduced rates of  
6 deterioration in mobility, respiratory status, and cardiac  
7 status. Central nervous system disease is not directly  
8 impacted by existing therapies, but somatic improvements  
9 may allow for better acquisition of developmental  
10 milestones.

11 There's limited evidence supporting benefit of  
12 early versus symptomatic treatment. Sibling pairs suggest  
13 benefit in terms of early intervention, however,  
14 complications in terms of intervention occurs because you  
15 can have a delayed clinical diagnosis. The peer reviewed  
16 evidence is limited. Much of the assessment of value  
17 relies on expert opinion and this is primarily the result  
18 of an ultra-rare nature of the condition.

19 At this point I will hand off to Dr. McCandless,  
20 and next slide.

21 SHAWN MCCANDLESS: Thank you. And I'd also like  
22 to echo my appreciation for the evidence review Committee

1 and for my colleagues on the Committee here today, and  
2 particularly for the wisdom of Dr. Powell and Dr. DeLuca  
3 as well as our HRSA partners.

4           You've seen the data on the screen before.  
5 These are from Dr. Prosser's presentation. And I just  
6 want to highlight a couple of things. The first is that  
7 the -- I think you've seen the numbers, you've recognized  
8 and heard Dr. Prosser say that it's more important to look  
9 at the ranges than it is to look at the actual numbers.  
10 But I just wanted to point out that the newborn screening  
11 appears to -- we should expect that we will have a similar  
12 number of patients that end up with diagnostic uncertainty  
13 requiring some period of follow-up and ongoing testing, to  
14 be similar in number to the patients that are true  
15 positives and identify, and that those patients that are  
16 true positives and identified, and that those patients  
17 lost to follow up are also not going to -- are also quite  
18 significant, as was referred to earlier.

19           The false positives are mostly pseudo-deficiency  
20 and that is generally fairly straightforward to sort out.  
21 And so as has been reported in the newborn screening  
22 literature in the past, false positives that can be easily

1 recognized during the confirmatory testing period are  
2 probably of less concern in terms of harm from the  
3 screening program.

4 I do want to point out one other thing though.  
5 That this diagnostic uncertainty group, in essence, what  
6 that's doing is that newborn screening is shifting the  
7 diagnostic odyssey from those patients that actually have  
8 the condition to those patients that almost most likely do  
9 not have the condition but will be given a potential --  
10 will be told that they are at increased risk for diagnosis  
11 that will require follow-up for what could be as much as  
12 two years. May I have the next slide, please?

13 The benefit to affected individuals seems to be  
14 relatively evident from what we've heard today. There's  
15 somatic improvement, slower progression of somatic  
16 problems, possibly slower neurologic degeneration which  
17 may have -- which may be related to increased acquisition  
18 of milestones or perhaps somehow related to the improved  
19 somatic benefits. There does appear to be both  
20 improvement and stabilization of cardiac symptoms.  
21 Respiratory outcomes were improved, at least at age 16, in  
22 the study from the U.K.

1                   And then overall survival is really challenging  
2 to get at because both of the studies that we saw that  
3 addressed this, the Hunter Outcome Survey as well as the  
4 U.K. data commingled severe phenotype with the attenuated  
5 phenotype when referring to the impact on survival of  
6 enzyme replacement therapy to the point where it becomes  
7 impossible to actually measure how much of the improvement  
8 related to children that were treated with enzyme  
9 replacement therapy is due to the enzyme and how much is  
10 just due to the proportion of individuals in each group  
11 that have a severe or an attenuated phenotype.

12                   With all of that said, there is some evidence to  
13 suggest that this difference was found in the U.K. study,  
14 and it seemed that their data set was a little bit more  
15 clear, and that there is evidence to suggest that this  
16 difference is likely to increase with earlier treatment,  
17 although that has not been definitively shown or even  
18 solidly shown. May I have the next slide, please?

19                   We feel that some reasonable assertions can be  
20 made based on these challenging data. The first is that  
21 ERT is likely associated with modestly prolonged life.  
22 ERT is likely associated with better somatic function.

1 That may even be more than likely. I think that's pretty  
2 clear. And we assume that ERT is associated with improved  
3 quality of life. ERT, itself, does not alter the CNS  
4 outcome as best we can tell, although it has been  
5 suggested that there may be slowing of the rate of  
6 neurologic deterioration in patients who are treated,  
7 particularly with early treatment.

8           And it appears that it is reasonable to assert  
9 that earlier initiation of ERT likely maximizes the  
10 benefit of therapy, although the data are really lacking  
11 regarding pre-symptomatic therapy in comparison to  
12 symptomatic treatment. May I have the next slide, please?

13           It's important for us, when considering the net  
14 benefit, to consider more than just the benefit to the  
15 individuals who are affected, but also to consider the  
16 potential harms. And most of the potential harms accrue  
17 to individuals that are not affected. And that may be the  
18 people with molecular variants of unknown significance,  
19 and in particular those with indeterminate results. And I  
20 think it's really important to point out that urinary --  
21 quantitative urinary glycosaminoglycan analysis, GAG  
22 analysis, is not a dichotomous result. It is a continuous

1 variable and that there are -- there's a clear normal  
2 range, there's a clear abnormal range and those ranges  
3 don't meet each other. So, there's what some people refer  
4 to as a gray zone in the results where you can't say this  
5 is completely normal, but it's not typical for a person  
6 who's clearly affected either. And we think that some, if  
7 not most of the indeterminate results will end up being  
8 due to one of these two categories.

9           Those of us to follow up, we should be really  
10 careful to not ignore what the potential impact on those  
11 families and those children is. Pseudo-deficiency  
12 results, as Dr. Kemper pointed out, using dried blood  
13 spots as a second -- dried blood spot GAG analysis as a  
14 second-tier screen appears, from the Missouri data, to  
15 reduce the false positive -- to reduce the false positives  
16 by about two-thirds from the -- at least from the call  
17 outs, from the newborn screening lab. But it's not a  
18 hundred percent, it does not rule out a hundred percent of  
19 the pseudo-deficiency patients. But those patients are  
20 based on other newborn screening literature. People that  
21 can be clearly defined early in the course of the  
22 diagnostic work up, generally there are not measurable

1 long-term harms related to newborn screening in other  
2 conditions. We don't have data regarding MPS II or MPS I  
3 for that matter.

4 False negatives are a potential harm, but there  
5 have been none reported. So, the test itself, the  
6 screening test seems very, very good so far. We think  
7 it's important, though, to point out that the potential  
8 for psychological and financial burdens for families after  
9 a false positive screen particularly for those with  
10 indeterminate results really have not been explored or  
11 defined. And so, you know, we can guess what some of them  
12 might be, unrecovered income because of traveling to  
13 appointments, lost time, lost quality of life, anxiety and  
14 stress for the parents and the cost of monitoring. There  
15 may be others. May I have the next slide, please?

16 So as the Committee considers the net benefit,  
17 we are thinking about the balance of the benefit versus  
18 the balance of the harms to the population who are  
19 undergoing this compulsory newborn screening program. May  
20 I have the next slide, please?

21 And I think it's really important to point out  
22 here that the benefits and the harms almost certainly



1 accrue to different individuals in the population, that  
2 the people who benefit are different from the people who  
3 are harmed by newborn screening. And as a Committee we  
4 have to consider both of those when we're considering  
5 recommending a population based compulsory newborn  
6 screening program. And I think the question has come up  
7 before from several others that we need to be really  
8 thoughtful about whether there are reasons to think that  
9 different groups may be affected differently by the  
10 benefits or harms, the sense of justice and equity. May I  
11 have the next slide, please.

12           So, to kind of summarize this, we're being asked  
13 the question, is there significant net benefit for  
14 compulsory population based newborn screening for MPS II.  
15 And we believe that the evidence is challenging to  
16 interpret, largely due to the rarity of the disorder. But  
17 it also clearly points to the need for authors of future  
18 case reports and case series to be really thoughtful about  
19 presenting their data in ways that will facilitate  
20 comparison. And this is a message for people who -- for  
21 groups who are considering proposing or nominating a  
22 condition in the future. The time is now to start

1 thinking about the data that is required to support your  
2 argument.

3           That said, the bulk of evidence that we've heard  
4 today, and the clearly stated experience of the expert  
5 clinicians and families shows that the somatic benefits of  
6 treatment are very meaningful to families and patients. I  
7 use the word, moderate, here in this slide to indicate  
8 while the somatic benefits are evident, the potential  
9 benefits on CNS involvement and mortality are less  
10 apparent, at least in the data available, and in fact,  
11 appear to be relatively modest.

12           I think potential harms we've discussed  
13 primarily accruing to those individuals with indeterminate  
14 status in our opinion, we do note that the risk of  
15 treating patients that was addressed earlier exceeds  
16 extremely low. There's an extremely low risk that we will  
17 treat patients that will not benefit from treatment,  
18 whether they're truly affected or whether they are not  
19 affected with the disorder.

20           We should just also point out here that the cost  
21 of screening appears to be relatively high per true  
22 positive case relative to other conditions that have been

1 added to newborn screening in recent years, and this is a  
2 combination of the rarity of disease and the cost of the  
3 testing itself.

4           The result of all of this, though, is that --  
5 well, I also -- I would like to go back to the third point  
6 here, potential harm. And just say that as we've seen  
7 from other nominated conditions, advocates for screening  
8 feel very strongly that the benefits that accrue to  
9 affected individuals far outweigh any potential harm of  
10 the compulsory population based newborn screening program  
11 to unaffected individuals and their families. However, we  
12 note that no one speaks here for those families that may  
13 be experiencing harms. That's understandable. It almost  
14 certainly reflects the imperfection in the process which  
15 by the way, has gotten better and better over the years.  
16 But the fact that those voices are not heard here does not  
17 necessarily indicate that some individuals and families do  
18 not suffer harm.

19           So, with all of that said, we have to decide as  
20 a Committee, is there high certainty of significant  
21 benefit, category A. Is there moderate certainty of  
22 significant benefit, Category B, or is there high

1 certainty of small benefit, C. And I would argue that  
2 there's probably fairly high certainty of significant  
3 benefit in the somatic findings. I think that you could  
4 make the argument that there's really moderate certainty  
5 of significant benefit in the somatic findings, because  
6 the evidence is challenging. I think it's -- I don't  
7 think anyone -- you could say that there's high certainty  
8 of small benefit if one considers neurologic outcome,  
9 somatic survival, and all of those things together, but I  
10 don't really think that that would be an accurate  
11 representation of the evidence.

12 I do think that we can say with fairly high  
13 certainty that there's moderate benefit in somatic  
14 findings, but that's not one of our options. So  
15 therefore, each Committee member is going to need to make  
16 a decision of whether the evidence that you've heard today  
17 and read in the report represents a significant benefit of  
18 compulsory population based newborn screening for MPS II.

19 And if I may have the next slide, Dr. DeLuca,  
20 and I, after careful consideration, feel that Category B  
21 of the decision matrix is most accurately reflects the  
22 evidence. There's moderate certainty that screening would

1 have a significant benefit. We think that this category  
2 gathers the uncertainty of the evidence in the most  
3 accurate way, although it's imperfect. May I have the  
4 next slide, please?

5 To address the readiness and feasibility  
6 question, I'm going to summarize what we've heard earlier  
7 and recognize that these are not -- that there may be some  
8 disagreement about this. But it appears to us that the  
9 newborn screening test are available and appropriate for  
10 high-throughput testing, and actually quite sensitive for  
11 the disorder. The proportion of patients awaiting a final  
12 diagnosis is less than ideal. There's just no way around  
13 that.

14 The proportion of true positive to all positive  
15 NBS results, which is close to one in ten, so about one in  
16 ten of the call outs will actually be diagnosed with the  
17 condition. That is certainly in the range of other  
18 conditions that are on the recommended uniform screening  
19 panel. So that is certainly the range of other conditions  
20 that are on the recommended uniform screening panel. So  
21 that does not appear to us to be a concern.

1                   Second tier testing, I will add, we would say  
2   should be highly recommended to reduce false positives as  
3   much as we can. The additional cost of that second-tier  
4   test as a send out is dwarfed by the benefit of reducing  
5   the false positive rate in our opinion.

6                   Readiness, again, I'd say this with some  
7   trepidation after our earlier discussion, but I think that  
8   the evidence suggests that most states could add screening  
9   in a reasonable period, one to three years, and we think  
10  that as states are adding MPS I and Pompe, MPS II can be  
11  added using similar approaches and should not add a  
12  significant burden to those states.

13                  We do want to acknowledge that the marginal  
14  screening cost is higher than some -- than most other  
15  additions to the RUSP so far. And then we talked about  
16  this earlier, but it does appear to us that the evidence  
17  suggests that the follow-up resources are thought to be  
18  adequate for the demand for adding this particular  
19  condition. May I have the next slide, please?

20                  So, we feel that the appropriate -- we feel that  
21  the developmental readiness falls into Category 2 and that  
22  there is high to moderate feasibility for implementation

1 over the next probably three to four years across the  
2 nation. And therefore -- if I may have the next slide --  
3 we think that newborn screening for MPS II meets the  
4 criteria for matrix Category B2, the developmental  
5 readiness is to enact screening for MPS II is reasonable,  
6 and there's high or moderate evidence for feasibility of  
7 screening, testing and treatment in states newborn  
8 screening systems. May I have the next slide, please.

9 Therefore, our recommendation is that MPS II  
10 should be added to the recommended uniform screening panel  
11 as a core condition. Thank you.

12 CYNTHIA POWELL: Thank you, Dr. DeLuca, and Dr.  
13 McCandless. Thank you for serving as the Committee  
14 representatives on the ERG and for all the time and effort  
15 that you've devoted to this process and the development of  
16 your presentation.

17

18 **COMMITTEE DISCUSSION**

19 I'll now open it up to any questions or comments  
20 from Committee members. Cindy Hinton.

21 CINDY HINTON: Thank you, Dr. Powell. Cindy  
22 Hinton, CDC. Thank you for your summary, Drs. DeLuca, and

1 McCandless. I want to follow up with something that Dr.  
2 McCandless had brought up, and it was the statement about  
3 better survival rates with earlier treatment. Was that  
4 from the sibling studies or it may be the HOS, where did  
5 those particular data come from?

6 SHAWN MCCANDLESS: That's a great -- this is  
7 Shawn McCandless. That's a really good question that I  
8 think is more based on the expert opinion than it is on  
9 the actual data from those studies. It's also an  
10 assumption that because of the improvements in overall  
11 health and the cause of death often being respiratory and  
12 cardiac issues in these patients, that preventing those or  
13 delaying the decline in those symptoms is almost certainly  
14 going to have a significant impact on mortality.

15 I don't think it's fair to say from anything that  
16 we've seen that patients with the severe form of the  
17 disease are going to live normal lives and live to be late  
18 into adulthood with currently available therapies. I  
19 think that like many of the conditions that we consider  
20 for newborn screening, however, that we -- the patients  
21 that we get into treatment earlier now, who survive longer  
22 and better are also alive to benefit from potentially



1 better therapies that may be coming in the future. And I  
2 think the point has been made several times that we  
3 maximize that potential benefit for everyone by adding --  
4 by getting a newborn screening diagnosis.

5           If I may just take a moment to pitch one of my  
6 favorite things, though, I think that you could also get  
7 almost all of that benefit from a much broader population  
8 based prenatal carrier testing program, and that I would  
9 encourage people who think about these kinds of things to  
10 not necessarily think that newborn screening is the only  
11 answer to solving public health issues related to genetic  
12 disorders, that carrier screening has been shown to be  
13 very effective for many disorders. I will now step off of  
14 my soapbox and shut up.

15           CINDY HINTON: Yes, thank you. Very helpful  
16 clarification, and I think, you know, a while ago there  
17 was a paper written on, you know, what makes something a  
18 public health screening versus clinical care. And you  
19 know, there is that option for something to be in clinical  
20 care. The evidence that has been presented is certainly  
21 pointing in the right direction, that early treatment will  
22 improve outcomes. And we've seen that in the sibling

1 studies. And I just want to reiterate what you and Dr.  
2 DeLuca said in your presentation, that we really -- that  
3 you know, researchers or people who are presenting cases  
4 for consideration really keep in mind, like this is what  
5 we need to know, you know. When we talk about evidence-  
6 based recommendations, like we need to have the evidence-  
7 based recommendations. And we see things that are  
8 promising, but we're working off of assumptions. You  
9 know, we're making a leap there that newborn screening  
10 itself is what is going to, you now, make that difference.

11 I really appreciate the thought that you all  
12 have put into this. Thank you.

13 SHAWN MCCANDLESS: Thank you. I'm sorry to jump  
14 in but I just want to respond very quickly to -- or it's  
15 not so much a response to what you said, Cindy, but just a  
16 -- Dr. Kemper said something that was really wise in some  
17 of our earlier conversations that I want to mention now,  
18 and that is that we were talking about other population  
19 based screening recommendations from the preventive  
20 services task force and things like that. And Dr. Kemper  
21 made the point that for newborn screening, it's basically  
22 all or nothing, because it's a compulsory program. Nobody

1 gets to talk to the family about newborn screening before  
2 it happens. It's just most families don't even realize  
3 it's happening until they get an abnormal result, and so  
4 it's we have to be really confident that the benefit is  
5 there. Whereas for other things, and the example would be  
6 carrier screening for these same conditions, that what  
7 that does is it gives the patient and the doctor an  
8 opportunity to have a meaningful discussion about what's  
9 best for their family and them as an individual. And it's  
10 -- so it puts a higher burden of responsibility on us when  
11 we're making a decision about compulsory newborn screening  
12 over sort of other recommendations about population-based  
13 screening, because in compulsory newborn screening, we  
14 remove that -- we remove that step of the patient and the  
15 doctor sitting down to talk about the potential benefits  
16 and the potential harms.

17           And Alex, if I've misstated that in some way or  
18 not reflected what you were really getting at, I  
19 apologize.

20           ALEX KEMPER: No, that was nice and I -- rarely  
21 do people call me wise, so I'll just leave it there.

22           CYNTHIA POWELL: Jennifer Kwon.

1                   JENNIFER KWON: I definitely want Scott to go  
2 first. But no, actually, I'll just throw out there that I  
3 guess I just can't get over the weekly infusion, okay?

4                   And so, I just want to -- I want some help in  
5 understanding how this works for people, and how this is  
6 going to work in newborn screening. We have a disorder  
7 where 60 percent of them -- 60 percent of the patients, if  
8 I heard that correctly, it's estimated, are going to have  
9 the more severe phenotype. There is no question that  
10 there is a net benefit to infusion. It will certainly  
11 make their lives longer and much more comfortable.

12                   But I ask myself -- well, you know, they'll  
13 probably have a port placed. They'll probably be able to  
14 get this at home. It just seems like an unusual lifetime  
15 of treatment to present to people. And we've heard from  
16 people who have been so affected by this disorder, and for  
17 whom this treatment is a miracle. But I think we can also  
18 probably envision that there are -- there will be families  
19 for whom this will feel like a kind of assault, a kind of,  
20 you know, being suddenly thrown into this world that they  
21 probably had no expectation of it all, which is where the  
22 -- which is where Alex's wise comments about the program

1 and why part of the problem with newborn screening is we -  
2 - it's not designed for us to get consent. It's designed  
3 for us to look at those disorders that are so serious that  
4 the community has decided that we have to look for them  
5 for people instead of them making the decision. We've  
6 taken it out of their hands. We are saying that this  
7 disease must be treated. You know, it's so important we  
8 have to, at least, you know, go that route. And then I  
9 think over time there are many people who say well, we're  
10 not actually saying that it must be treated, we're saying  
11 that it's important that families have the decision to be  
12 able to treat it.

13 And I guess I would just throw it out there that  
14 for me, it seems like it might be hard for some families  
15 to hear what they're going to have to do, and that's why  
16 maybe that figure of families lost to follow up just sort  
17 of resonates with me, because I think that I might -- I  
18 think that I've seen families where that might be their  
19 reaction, to say well, thanks, and then not come back.

20 So, I was curious, Shawn and Jane -- I'm sorry,  
21 I'm more informal. I probably shouldn't be, but I really

1 am curious what you think about sort of the granularity of  
2 the actual care of these patients.

3 JANE DELUCA: Well, you know, I can say that it's  
4 interesting because when you think about public health  
5 screening and population screening, it really does come  
6 down to the individual family. In the end it comes down  
7 to this person who's gotten the abnormal result, that has  
8 to come in and be counseled. And I think that if this  
9 spreads and of course, if it's approved and more states  
10 roll out, we will see these differences in terms of how  
11 families respond to this. I was shocked when I saw the  
12 five people who were lost to follow up, and I wonder if,  
13 you know, you take all these factors together, that this  
14 is a very daunting thing for a family to face, you know, a  
15 lifetime of treatment, and maybe it was too daunting for  
16 them. And I can think of instances for other disorders  
17 where this happened, you know, where people were lost to  
18 follow up and then just left, you know, the program.

19 So maybe what we need to do is to develop ways  
20 to be able to speak to people, therapeutically, and talk  
21 to these families therapeutically and meet them on their  
22 terms. You know, what do they understand? What are their

1 expectations? And I think what you're asking, Jennifer,  
2 is very legitimate. And certainly, we can speak to people  
3 in ways that will harm them if we aren't taking the care  
4 to meet people in terms of where they live when this  
5 happens.

6 SHAWN MCCANDLESS: If I could just add to that,  
7 thought. I would say at the end of the -- first off, your  
8 observation about the weekly treatment is right. I think  
9 you would have trouble finding a family who doesn't say  
10 that it's burdensome. My experience, though, and I think  
11 the experts would bear this out, is that you don't find  
12 too many families who don't -- who actually stop the  
13 therapy because of the burden until the child is  
14 deteriorated enough that they burden of the treatment  
15 outweighs the perceived benefit of the treatment. And  
16 that's when you have those -- that's an opportunity to  
17 have really meaningful discussions about what are your  
18 goals for your child?

19 I also think it's really important to say that I  
20 don't think that we're saying that you have to have  
21 treatment. And I know that's not what you were implying,  
22 Jennifer, but I think the evidence suggests from the

1 states that have already done this, that there is at least  
2 one family that opted not to start therapy right away.  
3 There were families who -- you know, there are choices  
4 that happen, and you can have the discussion.

5 I think that this is a case where the benefit of  
6 newborn screening is that a family gets to make the  
7 decision as early as possible to maximize the benefit of  
8 the therapy.

9 I think it is a little different though than  
10 maybe like PKU, where like if I met a family where we had  
11 just diagnosed with MPS II and they said we really don't  
12 think it's in our child's best interest to do enzyme  
13 therapy, I would say I think, you know, that's your  
14 decision to make. If I have a family with PKU who says I  
15 don't really think that a low phenylalanine diet is really  
16 in my child's best interest, I will call social services,  
17 because that's not acceptable. And I think -- I think the  
18 point you make, Jennifer, is that there is a difference in  
19 those two categories, and I'm not sure how to weigh that.

20 CYNTHIA POWELL: Scott Shone.

21 SCOTT SHONE: I definitely want Kyle Brothers to  
22 go before me, because I know -- I think he's got more



1 pertinent things to say about what the discussion was  
2 before I changed a little bit, so I would like Kyle to go.

3 CYNTHIA POWELL: Kyle Brothers.

4 KYLE BROTHERS: I hope, Scott, I don't disappoint  
5 you. Yeah, I must say I'm a primary care doctor and I'm  
6 skeptical of population level screening just because there  
7 -- you know, in the primary care setting we see the  
8 adverse effects of sort of the use of medical technologies  
9 out of proportion, you know. And you know, just the first  
10 thing we're taught in medical school is you don't do a  
11 test unless you know what you're going to do with the  
12 result, right?

13 So, and I strongly support evidence-based  
14 medicine, but I must say for an ultra-rare condition of  
15 this type, the evidence is about as good as we could  
16 reasonably expect. So, I agree with the conclusion of  
17 this as a B. And I think I'm supposed to be the ethicist  
18 for the Committee, so I'll just make one comment along  
19 those lines. I really am concerned about the effects on  
20 false positive families, and the way in which that  
21 distribution of harms and benefits might be unjust. But I  
22 am compelled by the scale of the benefit to these families

1 who get a true positive result. I don't want to deny that  
2 there are harms to the false positive families, but  
3 ultimately, it's, you know, a short-term inconvenience  
4 weighed against years of potential benefits that I really  
5 am quite convinced are significant based on the available  
6 evidence.

7           And then finally I'll just say there are many  
8 flaws that we've seen in the way the system works that  
9 would lead to newborn screening for this condition to be  
10 imperfect, right? And one of them is that some families  
11 are going to get lost to follow up. Maybe those families  
12 moved to a bigger -- you know, to another state in order  
13 to get better care. I mean, you know, we really can't  
14 say. Not all of those families maybe had a negative  
15 outcome. And obviously some of those kids may have gone  
16 on to not develop the condition and were unharmed.

17           But ultimately, there -- we can imagine other  
18 ways to pick up this condition early in life, either  
19 prenatally or through primary care settings, but  
20 fundamentally, those do not work well at this point in  
21 time, and I think we have opportunities in the decades  
22 ahead to really re-imagine how the healthcare system

1 operates, but at this point, newborn screening is the only  
2 way to recognize these benefits for families early in  
3 life. We're not going to get primary care providers to do  
4 some kind of test on every single child, so I just am  
5 compelled that I think this is the right thing to do for  
6 this particular condition, is to add it to the RUSP. So,  
7 thinks.

8 CYNTHIA POWELL: Scott Shone.

9 Scott Shone: So, it was the ethics perspective,  
10 Kyle, that I was hoping you would go before me. So,  
11 I do appreciate that.

12 Just a comment on that last comment you made  
13 about I don't think we'll get primary care providers to  
14 just do a test on every baby is really disheartening to  
15 think about, although it might be real, because we've  
16 talked in this group about other disorders that might not  
17 really make sense for newborn screening, but would be  
18 better in a primary care setting, and just to acknowledge  
19 that, Kyle, is really troubling to think about the future  
20 of getting things jammed on newborn screening that really  
21 might not be appropriate. I think we're already starting

1 to see it a bit. I'm not saying MPS II is it, but I think  
2 that others.

3 For Shawn, you know, I think as for Jane,  
4 there's a lot of likely and challenging, like just the  
5 sort of like buzz words in the presentation about where we  
6 go that that level of uncertainty gives me -- as people  
7 who know me well know uncertainty gives me angst. I plan  
8 everything, and so uncertainty gives me angst, and I talk  
9 about it with other disorders that are in the newborn  
10 screening panel.

11 But I wanted to hone-in a little bit on your  
12 comment around those who benefit differ from those who are  
13 harmed, and then the statement that low risk of treating -  
14 - there's a low risk of treating patients that will not  
15 benefit and get at sort of that risk of early treatment  
16 when not necessary. I don't know if I was clear on what  
17 my question was there, but can you just sort of help me  
18 understand what that all means, like who is really going  
19 to benefit most? It actually seems to me that while 60  
20 percent will be severe, that 40 percent attenuated, who  
21 there's discrepancy on whether or not to treat them and  
22 that that Delphi process actually might be the ones who

1 benefit most from all of this. Is that -- did I totally  
2 miss that?

3 SHAWN MCCANDLESS: I think that -- this is Shawn  
4 McCandless, Committee member. I agree with you, Scott. I  
5 think that -- and I think the expert -- the technical  
6 expert panel, I think also made that point, that possibly  
7 the people who benefit the most are the people with the  
8 attenuated form who are going to have a likely -- likely  
9 going to have preserved cognition and live a long life.  
10 They're going to live a long life with better health, with  
11 better mobility, with fewer limitations on their life.  
12 so, I really do think that the -- that your point is an  
13 excellent one, that we didn't make very well in the  
14 summary, which is that the patients with the attenuated  
15 form really are the ones who are going to get the biggest  
16 benefit. There will be benefit in somatic symptoms for  
17 the most severe kids, but it probably doesn't change the  
18 ultimate outcome for those individuals by a lot. Their  
19 life will be better. It will probably be a little longer,  
20 but the outcome is likely to be the same, just a little  
21 bit delayed, whereas for the attenuated group, it will be  
22 much bigger.

1           I think that your observation about the use of  
2 the term, likely, in the summary is really the reflection  
3 that Kyle alluded to of the complexity of getting  
4 meaningful data for a really rare disorder from the  
5 medical literature.

6           You know, I hope that we'll all learn from this.  
7 I think I will. In the future when I'm working with  
8 colleagues to write a case report, you can bet I'm going  
9 to be saying, you know what, we need to compare apples to  
10 apples. We need to go through every case. We need to go  
11 back and ask every one of these physicians to say where  
12 was this kid in this measure at age three, at age five, at  
13 age eight? We need to have all of our ducks in a row when  
14 we publish these data. That has not been the standard in  
15 the past. It needs to be going forward.

16           SCOTT SHONE: So, you read my mind in my last  
17 comment, Shawn, who is what you just described is the  
18 thing we talk about all the time for years and years and  
19 years, and that's long-term follow-up. And you know, I  
20 got a little frustrated two meetings ago that we talk  
21 about this a lot, over and over again, and here is another  
22 example. We don't have it.

1           And so, Jane, you said something to the effect  
2 of -- I actually don't remember what you said, because I  
3 was -- you said we'll see that data. And I'm like, are  
4 we? Will we see that data? Will we see that data, and I  
5 put long term follow-up? You know, I don't -- I don't  
6 have the faith right now in the newborn screening system  
7 that a year or two from now, say all those states who are  
8 about to implement MPS I say it makes sense to validate  
9 MSP II at the same time and do them both at the same time  
10 and we have a sudden surge of both. Are we all confident  
11 that we're going to get that data? I'm not. And so --  
12 and the question I have -- I guess not question, but the  
13 comment, you know, Kyle said we are where we are, right?  
14 We're not going to get any better. And I agree with that.  
15 But you know, I'll just leave it at I think that there's a  
16 -- we are -- Dr. Powell, you said, you know, we're looking  
17 at what about multiple disorders coming? I think we need  
18 to figure out this data piece like really quickly, because  
19 I think Sabra Anckner said it, three's hundreds of  
20 millions of dollars that come into infectious disease, and  
21 we need to take advantage of that for rare disease. And  
22 that's -- thanks.

1 SHAWN MCCANDLESS: You're preaching to the choir,  
2 Scott, on that. I think we would be remise, though, if we  
3 didn't acknowledge the good work that the long-term  
4 follow-up working group has made and the progress they've  
5 made in recommendations, and the fact that HRSA has been  
6 responsive to that and is investing -- has made investment  
7 in improving long term outcome -- or long term follow up  
8 and outcome assessment. Are we where we need to be? No.  
9 Are we getting better? Too slowly. But we're moving in  
10 the right -- it seems to me we're moving in the right  
11 direction, and I want to acknowledge HRSA's role in that.

12 CYNTHIA POWELL: Chanika.

13 CHANIKA PHORNPHTUKUL: Hi, this is Chanika  
14 Phornphutkul. I just want to go back to one of the  
15 comments about the -- you know, sort of we are thinking of  
16 many conditions that could be quote, unquote, screened or  
17 picked up later in life, and you know, relying on primary  
18 care. I think one of the points that Dr. Kemper actually  
19 pointed out really nicely was that you compared the early  
20 treatment, you know, before six months. And I recognize  
21 the limitation of comparing the siblings, but at least for  
22 this specific condition, I do feel that we have



1 information of a potential benefit or likely benefit for  
2 the early institution of treatment. So, I think that was  
3 one of the points that I want to make to this group.

4 Second, in terms of the long-term treatment --  
5 long term follow-up, you know, I'm a new member, so I  
6 really don't know what else have been discussed before,  
7 but I do think that from other professional society, I  
8 think Max Muenke is on here, Dr. Berry is on here, that  
9 really falls onto us as professionals to continue or, you  
10 know, do a better job. I know that it's not going to  
11 address what the question we have today, but also, I think  
12 those are something that's being done. I think we don't  
13 have the benefit of SMA when it's much more common, or CF  
14 where, you know, it's much more common to have this large  
15 powerful data. But I think we can get there eventually.  
16 Thank you.

17

18 **VOTE ON WHETHER OR NOT TO RECOMMEND MPS II FOR INCLUSION**

19 **ON THE RECOMMENDED UNIFORM SCREENING PANEL**

20 CYNTHIA POWELL: Thank you. All right, I'm not  
21 seeing any other hands raised. So, I think we're ready to  
22 move forward with a motion. Would anybody be willing to

1 make a motion as to whether to accept or not accept the  
2 recommendation, both including the rating and whether to  
3 recommend MPS II to the Secretary or not, any Committee  
4 member.

5 KYLE BROTHERS: This is Kyle Brothers. I move  
6 that we accept the recommended rating of B2 for this  
7 condition and that we recommend that the Secretary add it  
8 to the RUSP.

9 CYNTHIA POWELL: Is there a second?

10 UNIDENTIFIED SPEAKER: I second.

11 CYNTHIA POWELL: Are there any additional  
12 comments before we vote?

13 SHAWN MCCANDLESS: This is Shawn McCandless. Can  
14 I -- Kyle, would it be okay if I modified the  
15 recommendation to say that it should be added to the RUSP  
16 as a core condition?

17 KYLE BROTHERS: Sorry, yes. Thank you.

18 SHAWN MCCANDLESS: Thank you.

19 CYNTHIA POWELL: Cindy Hinton.

20 CINDY HINTON: Yes. I know that in the past the  
21 Committee has asked for -- or somehow like people have  
22 come back to report, how is this going? I don't know how

1 to, you know, emphasize more this need for the data. And  
2 that may not be in this particular point right here. So,  
3 I just -- it's more like a point of clarification for how  
4 the Committee operates.

5           CYNTHIA POWELL: Yes. Well, for SMA, the  
6 Secretary did ask the Committee to do a follow-up  
7 evaluation a few years or within -- I can't remember,  
8 Alex, how many years, two or three years after that was  
9 approved. And that is the plan for all of the conditions  
10 on the RUSP. So that is something that's planned and also  
11 the Secretary may ask for that. Jennifer.

12           JENNIFER KWON: This is Jennifer Kwon. Just to  
13 echo what Cindy just said, I think that it would be really  
14 interesting to have MPS I and maybe also what, you know,  
15 the follow-up on MPS II at the same time, just because it  
16 has been a few years since MPS I was recommended. And as  
17 I said, it does seem like the uptake has been a little bit  
18 slow. And I think that it's -- I think that there is  
19 information that we just aren't hearing because of the  
20 nature of these rare diseases and how they get presented  
21 to us. It's also in the nature of these rare diseases and  
22 their presentation that what we read in the medical

1 literature is a certain point of view. And so, I think  
2 it's only with population-based screening that we see the  
3 broader picture, and that will be helpful for, you know,  
4 informing how we might decide in the future.

5 CYNTHIA POWELL: Thank you. All right, I don't  
6 see any other hands. So, the motion is to approve the B2  
7 rating and to recommend that MPS II be added to the RUSP  
8 as a core condition. Do any Committee members have a  
9 conflict of interest regarding this vote and need to  
10 recuse themselves?

11 Are there any abstentions? All right, I will  
12 now call each member's name and you will please answer yes  
13 or in favor if you are in favor of the motion. Or if you  
14 are not in favor of the motion, please state not in favor.  
15 Kyle Brothers.

16 KYLE BROTHERS: In favor.

17 CYNTHIA POWELL: Cindy Hinton, representing CDC.

18 CINDY HINTON: In favor.

19 CYNTHIA POWELL: Jane DeLuca.

20 JANE DELUCA: In favor.

21 CYNTHIA POWELL: Kellie Kelm, representing FDA.

22 KELLIE KELM: In favor.

1 CYNTHIA POWELL: Jennifer Kwon.

2 JENNIFER KWON: Not in favor.

3 CYNTHIA POWELL: Shawn McCandless.

4 SHAWN MCCANDLESS: In favor.

5 CYNTHIA POWELL: Kamila Mistry.

6 KAMILA MISTRY: In favor.

7 CYNTHIA POWELL: Melissa Parisi, NIH.

8 MELISSA PARISI: In favor.

9 CYNTHIA POWELL: Chanika Phornphutkul.

10 CHANIKA PHORNPHTKUL: In favor.

11 CYNTHIA POWELL: And Cynthia Powell, I'm in  
12 favor. Scott Shone.

13 SCOTT SHONE: In favor.

14 CYNTHIA POWELL: Michael Warren representing  
15 HRSA.

16 MICHAEL WARREN: In favor.

17 CYNTHIA POWELL: So, the outcome of the vote is  
18 that the condition is recommended for addition to the RUSP  
19 with a B2 rating. The Committee has voted in favor of  
20 recommending adding MPS II. I will prepare a letter for  
21 the Secretary with the recommendation from the Advisory  
22 Committee. Please remember that the Secretary makes the

1 final decision on whether or not to accept the Committee's  
2 recommendation. This decision will be posted on the  
3 Committee's website. I would like to thank everyone  
4 involved in the nomination, evidence-based review, and  
5 decision-making process, including members of the  
6 Committee, the ERG, and the Technical Expert Panel.

7 And definitely this involved a lot of time and  
8 effort. Thank you all for your thoughtfulness on this and  
9 your dedication to the Committee.

10

11

#### **ADJOURNMENT**

12

13

14

CYNTHIA POWELL: And this will bring the end of  
Day 1 and I look forward to reconvening tomorrow at 10:00  
a.m. Eastern time. Thank you.