

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23

The Advisory Committee on
Heritable Disorders in Newborns and Children

Virtual Meeting

10:00 a.m.

Friday, May 13, 2022

Attended Via Webinar

1

COMMITTEE MEMBERS :

2

Kyle Brothers, MD, PhD

3

Endowed Chair of Pediatric Clinical and

4

Translational Research

5

Associate Professor of Pediatrics

6

University of Louisville School of Medicine

7

8

Jane M. DeLuca, PhD, RN

9

Associate Professor

10

Clemson University School of Nursing

11

Metabolic Nurse Practitioner

12

The Greenwood Genetic Center

13

14

Jennifer M. Kwon, MD, MPH, FAAN

15

Director, Pediatric Neuromuscular Program

16

American Family Children's Hospital

17

Professor of Child Neurology, University of

18

Wisconsin School of Medicine & Public Health

19

20

Shawn E. McCandless, MD

21

Professor, Department of Pediatrics

22

Head, Section of Genetics and Metabolism

1 University of Colorado Anschutz Medical Campus
2 Children's Hospital Colorado

3

4 **Chanika Phornphutkul, MD, FACMG**

5 Professor of Pediatrics and Pathology and
6 Laboratory Medicine and Genetics
7 Director, Division of Human Genetics
8 Department of Pediatrics

9 Brown University

10 Hasbro Children's Hospital/ Rhode Island Hospital

11

12 **Cynthia M. Powell, MD, FACMG, FAAP**

13 (Chairperson)

14 Professor of Pediatrics and Genetics
15 Director, Medical Genetics Residency Program

16 Pediatric Genetics and Metabolism

17 The University of North Carolina at Chapel Hill

18

19 **Scott M. Shone, PhD, HCLD (ABB)**

20 Director

21 North Carolina State Laboratory of Public Health

22

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22

EX-OFFICIO MEMBERS:

Agency for Healthcare Research & Quality

Kamila B. Mistry, PhD, MPH

Senior Advisor

Child Health and Quality Improvement

Centers for Disease Control & Prevention

Carla Cuthbert, PhD

Chief, Newborn Screening and Molecular Biology

Branch

Division of Laboratory Sciences

National Center for Environmental Health

Food and Drug Administration

Kellie B. Kelm, PhD

Director

Division of Chemistry and Toxicology Devices

Office of In Vitro Diagnostics and Radiological

Health

Health Resources & Services Administration

1 Michael Warren, MD, MPH, FAAP
2 Associate Administrator
3 Maternal and Child Health Bureau

4

5 **National Institutes of Health**

6 Diana W. Bianchi, MD
7 Director
8 Eunice Kennedy Shriver National Institute of Child
9 Health and Human Development
10 31 Center Drive, Room 2A03
11 Bethesda, Maryland 20892

12

13 **Acting Designated Federal Official**

14 Soohyun Kim, MPH, CPH
15 Genetic Services Branch,
16 Maternal and Child Health Bureau
17 Health Resources and Services Administration

18

19 **ORGANIZATIONAL REPRESENTATIVES:**

20

21 **American Academy of Family Physicians**

22 Robert Ostrander, MD

1 Valley View Family Practice

2

3 **American Academy of Pediatrics**

4 Debra Freedenberg, MD, PhD

5 Medical Director, Newborn Screening and Genetics,

6 Community Health Improvement

7 Texas Department of State Health Services

8

9 **American College of Medical Genetics & Genomics**

10 Maximilian Muenke, MD, FACMG

11 Chief Executive Officer

12

13 **American College of Obstetricians & Gynecologists**

14 Steven J. Ralston, MD, MPH

15 Chair, OB/GYN

16 Pennsylvania Hospital

17

18 **Association of Public Health Laboratories**

19 Susan M. Tanksley, PhD

20 Manager, Laboratory Operations Unit Texas

21 Department of State Health Services

22

1 **Association of Women's Health, Obstetric &**
2 **Neonatal Nurses**

3 Shakira Henderson, PhD, DNP, MS, MPH, RNC-NIC,
4 IBCLC

5 Vice President, Research Officer University of
6 North Carolina Health

7 Board Director, Association of Women's Health,
8 Obstetric & Neonatal Nurses

9

10 **Child Neurology Society**

11 Margie Ream, MD, PhD

12 Associate Professor,

13 Director, Leukodystrophy Care Clinic

14 Director, Child Neurology Residency Program

15 Nationwide Children's Hospital Division of

16 Neurology, The Ohio State University

17

18 **Department of Defense**

19 Jacob Hogue, MD

20 Lieutenant Colonel, Medical Corps, US Army

21 Chief, Genetics, Madigan Army Medical Center

22

1 **Genetic Alliance**

2 Natasha F. Bonhomme

3 Vice President of Strategic Development

4

5 **March of Dimes**

6 Siobhan Dolan, MD, MPH

7 Professor and Vice Chair for Research

8 Department of Obstetrics & Gynecology and Women's

9 Health, Albert Einstein College of Medicine and

10 Montefiore Medical Center

11

12 **National Society of Genetic Counselors**

13 Cate Walsh Vockley, MS, LCGC

14 Senior Genetic Counselor

15 Division of Medical Genetics

16 UPMC Children's Hospital of Pittsburgh

17

18 **Society for Inherited Metabolic Disorders**

19 Gerard T. Berry, M.D.

20 Harvey Levy Chair in Metabolism

21 Director, Metabolism Program, Division of Genetics

22 and Genomics, Boston Children's Hospital

1 Director, Harvard Medical School Biochemical
2 Genetics Training Program, Professor of Pediatrics
3 Harvard Medical School, Center for Life Science
4

C O N T E N T S

1

2 COMMITTEE MEMBERS: 2

3 EX-OFFICIO MEMBERS: 4

4 ORGANIZATIONAL REPRESENTATIVES: 5

5 WELCOME, ROLL CALL, AND COMMITTEE BUSINESS 11

6 PUBLIC COMMENTS 41

7 KRABBE NOMINATION SUMMARY 66

8 COMMITTEE DISCUSSION AND VOTE 88

9 BREAK 93

10 UPDATES ON NEWBORN SCREENING FAMILY EDUCATION PROGRAM ... 93

11 NEW BUSINESS 155

12

1

2

P R O C E E D I N G S

3

WELCOME, ROLL CALL, AND COMMITTEE BUSINESS

4

CYNTHIA POWELL: Good morning,

5

everyone. Welcome to the second day of the May

6

2022 meeting of the Advisory Committee on

7

Heritable Disorders in Newborns and Children.

8

I'm Dr. Cynthia Powell, Committee Chair.

9

We will begin with the roll call.

10

Committee member representing the Agency for

11

Healthcare Research and Quality, Kamila Mistry.

12

She may not be available today. Kyle Brothers.

13

And Kyle was also likely going to be a little

14

late in joining us this morning. From the

15

Centers for Disease Control and Prevention, Carla

16

Cuthbert.

17

CARLA CUTHBERT: I'm here.

18

JANE DELUCA: Here.

19

CYNTHIA POWELL: From the Food and

20

Drug Administration, Kellie Kelm.

21

KELLIE KELM: Here.

22

CYNTHIA POWELL: From Health

1 Resources and Services Administration, Michael
2 Warren.

3 MICHAEL WARREN: Here.

4 CYNTHIA POWELL: Shawn McCandless.

5 SHAWN MCCANDLESS: Here.

6 CYNTHIA POWELL: Jennifer Kwon.

7 JENNIFER KWON: Here.

8 CYNTHIA POWELL: From the National
9 Institutes of Health, Melissa Parisi.

10 MELISSA PARISI: Here.

11 CYNTHIA POWELL: Chanika
12 Phornphutkul.

13 CHANIKA PHORNPHTKUL: Here.

14 CYNTHIA POWELL: I'm here, Cynthia
15 Powell, and Scott Shone.

16 SCOTT SHONE: Here.

17 CYNTHIA POWELL: And our
18 organizational representatives from the American
19 Academy of Family Physicians, Robert Ostrander.

20 ROBERT OSTRANDER: Here.

21 CYNTHIA POWELL: From the American
22 Academy of Pediatrics, Debra Freedenberg.

1 DEBRA FREEDENBERG: I'm here.

2 CYNTHIA POWELL: From the American
3 College of Medical Genetics and Genomics,
4 Maximilian Muenke.

5 MAXIMILIAN MUENKE: I'm here.

6 CYNTHIA POWELL: From the American
7 College of Obstetricians and Gynecologists,
8 Stephen Ralston. From the Association of Public
9 Health Laboratories, Susan Tanksley.

10 SUSAN TANKSLEY: I'm here.

11 CYNTHIA POWELL: From the
12 Association of Women's Health Obstetric and
13 Neonatal Nurses, Katie Swinyer.

14 KATIE SWINYER: Here.

15 CYNTHIA POWELL: From the Child
16 Neurology Society, Margie Ream.

17 MARGIE REAM: Here.

18 CYNTHIA POWELL: From the Department
19 of Defense, Jacob Hogue.

20 JACOB HOGUE: Here.

21 CYNTHIA POWELL: From the Genetic
22 Alliance, Natasha Bonhomme.

1 NATASHA BONHOMME: Here.

2 CYNTHIA POWELL: From the March of
3 Dimes, Siobhan Dolan.

4 SIOBHAN DOLAN: Here.

5 CYNTHIA POWELL: From the National
6 Society of Genetic Counselors, Cate Walsh
7 Vockley.

8 CATE WALSH VOCKLEY: I'm here.

9 CYNTHIA POWELL: And from the
10 Society of Inherited Metabolic Disorders, Gerard
11 Berry.

12 GERARD BERRY: Here.

13 CYNTHIA POWELL: Thank you. I'll
14 now turn things over to Soohyun.

15 SOOHYUN KIM: Thank you, Dr. Powell.
16 So, as Dr. Powell mentioned yesterday, this is
17 her last meeting as the Chair. So, we wanted to
18 take some time before we start today to honor Dr.
19 Powell for her service and many contributions to
20 the Committee. Next slide.

21 First, we have Dr. Warren, the
22 Associate Administrator for the Maternal and

1 Child Health Bureau and an ex-officio member of
2 the Committee who will say a few words.

3 MICHAEL WARREN: Good morning,
4 everyone. Thank you so much for the opportunity
5 to share some comments.

6 As Dr. Powell mentioned yesterday,
7 this is her last meeting and so on behalf of the
8 Health Resources and Services Administration and
9 the United States Department of Health and Human
10 Services, Dr. Powell, we want to honor and thank
11 you for your leadership and for your service to
12 the Committee and to the field of newborn
13 screening more broadly.

14 For those who may not know, Dr.
15 Powell has served on the Committee as a voting
16 member since November of 2017 and as the
17 chairperson since May 2019.

18 As a highly regarded and well-known
19 pediatric geneticist with a focus on hearing
20 loss, genetic and chromosomal syndromes, and
21 ethical issues in genetics and newborn screening,
22 she's brought her extensive clinical and public

1 health expertise in newborn screening and
2 heritable disorders to enrich Committee
3 deliberations and made evidence-driven
4 recommendations to advance newborn screening
5 systems.

6 Under her leadership, the Committee
7 has reviewed and strengthened its evidence-based
8 review and decision-making processes and
9 developed consumer-friendly resources explaining
10 these processes.

11 The Committee is also focused on the
12 newborn screening workforce and the challenges
13 and opportunities therein.

14 Importantly, under her steadfast
15 leadership, the Committee is looking at health
16 equity and newborn screening and follow up and
17 reviewing the Committee's capacity and
18 prioritization of nominated conditions. This
19 work will extend beyond her time as chair.

20 Dr. Powell, HRSA would like to
21 sincerely thank you for your leadership, your
22 thoughtfulness, your wisdom, and your lifelong

1 dedication for infants, children, and their
2 families. You've truly made a lasting positive
3 impact in their lives.

4 I think about the 4 million or so
5 infants born every year in this country and your
6 work impacts every single one of them and their
7 families, and while many of them will never know
8 your name, they are better off because of your
9 service. So, we thank you.

10 CYNTHIA POWELL: Thank you, Dr.
11 Warren. It's really been an honor and privilege
12 to work with you and others at HRSA over the last
13 several years, particularly during my time as
14 chairperson. I wouldn't have been able to do
15 this without the support of the group at HRSA. I
16 would like to particularly thank Soohyun Kim,
17 who's been the Designated Federal Official for
18 the last few months and also previous Designated
19 Federal Officials I've been able to work with,
20 Mia Morrison, Catherine Riley, also Debbie
21 Sarkar. Her long-term history with HRSA and her
22 institutional memory has been a vital importance.

1 Also, Alisha Kheen and Joan Scott.

2 I'd also like to thank my
3 predecessor chairs of the Committee, Dr. Rod
4 Howell and Dr. Joseph Bocchini, who often offered
5 their advice and support to me.

6 As we move forward, I certainly
7 think this is an extremely exciting time for this
8 Committee and newborn screening in general.
9 We've gone through a very trying last two and a
10 half years, and those of us who have lost close
11 family members, friends, coworkers, you know, and
12 seeing just what's happened to our country during
13 the pandemic, but despite all this, the fact that
14 the newborn screening program and system has run
15 as smoothly as it has is really remarkable, I
16 think, and despite the lack of funding and
17 burnout for many individuals in the system, there
18 are so many dedicated people across the newborn
19 screening system, and we need to honor them and
20 make sure that, you know, they -- they continue
21 their -- their very important work.

22 I hope that the Newborn Screening

1 Saves Lives Act will be able to be passed in the
2 near future, and also that the Committee can
3 continue working on several of the issues with
4 interoperability that we've talked about. We
5 need to be able to harness our amazing electronic
6 health records and other informational systems to
7 communicate with each other to improve the lives
8 of children identified with conditions through
9 newborn screening.

10 We will continue to think about
11 workforce shortages and also being able to track
12 long-term outcomes, especially as we add some of
13 the newer conditions that are going to require a
14 lot of follow up. So, again, I'd like to thank
15 all of you and I will be around for a few more
16 weeks working with the Committee and if Soohyun
17 does that have any additional comments, or she'll
18 let me know when we can introduce our new Chair
19 of this Committee, thank you.

20 SOOHYUN KIM: Thank you, Dr. Powell.
21 And personally, it has been such a tremendous
22 pleasure and privilege to work with you and to

1 work with the Committee under your leadership.

2 Because we were unable to honor you
3 in person today, we have mailed our appreciation
4 plaque and if you have it nearby, if you want to
5 share it with everyone. And we also have --
6 thank you -- shared virtual thank you card with
7 her this morning with messages from current and
8 former members of the Committee, and many of our
9 colleagues and partners. We'll also be sending a
10 certificate and letter of appreciation from our
11 HRSA Administrator, Carol Johnson, soon.

12 So now, I actually would like to
13 open the floor to the fellow Committee members,
14 if they would like to share any words. Dr.
15 McCandless.

16 SHAWN MCCANDLESS: It's always a
17 race between Scott Shone and me and need to see
18 who's going to get our hand up first and I
19 apologize for that.

20 Cindy, I just want to thank you for
21 your -- for your gentle approach and your wisdom
22 that you've shown us and the leadership you've

1 shown. Some of you may know that Cindy was one
2 of my partners in my very first job at the
3 University of North Carolina and I learned so
4 much from Cindy and Art Ellsworth and the others
5 there, Diane Frasier, about how to be a good
6 physician and how to be a good person and how to
7 be really thoughtful and care about my patients
8 and it's really been terrific for me to see how
9 Cindy has brought that to the Committee.

10 So, Cindy, thank you for your
11 leadership and, frankly, thank you personally for
12 everything you've done for me through my entire
13 professional career. It's -- it's -- you've been
14 a good friend and a good mentor, so thank you.

15 CYNTHIA POWELL: Thank you, Shawn.

16 SOOHYUN KIM: Thank you. Dr. Shone.

17 SCOTT SHONE: Shawn, you can win
18 every race to the hand raising from now on. I
19 will not compete anymore.

20 But, I guess, so Cindy, first thank you
21 for what you've done for Shawn because I love
22 working with him too, but so clearly a wonderful

1 mentor. For me personally, I am thrilled that we
2 have you in North Carolina. I don't know if it
3 was planned to get both of us North Carolinians
4 off in the same term, but I am thrilled that --
5 that I get to continue to work with you
6 personally on improving the lives here of the
7 babies in North Carolina. Thank you for all that
8 you do here locally within our state but also
9 just the patience and grace that you have shown
10 personally. We joke about this, but I've learned
11 so much in the short time I've been in North
12 Carolina through our work with pilot studies to
13 now mandated newborn screening, and I appreciate
14 everything you've done on the Committee.

15 It hasn't been the easiest times,
16 even without a respiratory pandemic. These are
17 challenging times for the newborn screening
18 system, and I think you've helped us navigate it
19 quite well, and I look forward to our continued
20 partnership. So, thanks, Cindy.

21 CYNTHIA POWELL: Thank you, Scott.

22 SOOHYUN KIM: Dr. DeLuca.

1 JANE DELUCA: Hi, Cindy. I think we
2 both came on board at the same time for the
3 Committee or actually during your leadership
4 role, for me to become a member of the Committee,
5 you know, I think we were both scared. But I,
6 too, appreciate gentle wisdom and your
7 intelligence in terms of your approach to
8 Committee matters. But we're neighbors. You're
9 North Carolina, I'm South Carolina. I think I'm
10 going to see you later in July at SERGG. So, I
11 look forward to see you there, and hopefully we
12 can step out and have a drink. Thank you.

13 CYNTHIA POWELL: Thank you, Jane.

14 SOOHYUN KIM: I'll also open the
15 floor to our organizational representatives. Dr.
16 Muenke.

17 MAXIMILIAN MUENKE: This comes
18 slightly unprepared. So, I'll talk from the
19 heart and I think of Cindy Powell of Dr. Cynthia
20 Powell, I think service and professionalism is
21 written all over her. And just to mention a few,
22 she has been -- she has served in education as a

1 role model there really working on the next
2 leaders in the field, training so many at UNC
3 Chapel Hill. She has been the President of
4 APHMG, the Association of Professors of Human --
5 of Medical or Human Genetics. She is on the
6 board of directors of ACMG, the organization
7 where I'm the CEO of. She is -- for us, she is
8 the Chair of the Development -- for the Workforce
9 Development and Innovation Committee because, as
10 you all know, we don't have enough geneticists
11 around.

12 On top of it, she comes from a
13 somewhat different route, although she's not the
14 only one, she started out as a genetics counselor
15 and I think her tender approach and not letting
16 go, fighting on behalf of patients, I think that
17 to me is -- she has been a role model for me all
18 along.

19 It's hard to pinpoint when Cindy and
20 I first met. It's a while ago. I would venture
21 to say, maybe two decades, I don't know. We
22 didn't meet when you worked as a genetic

1 counselor here in DC because at the time I was in
2 Philadelphia, but your gentle approach and not
3 letting go as in fighting for what's right on
4 behalf of patients, on behalf of trainees, that
5 is a role model for me and always will be. Thank
6 you, Cindy.

7 CYNTHIA POWELL: Thank you, Max.

8 SOOHYUN KIM: Thank you, Max.

9 Robert Ostrander.

10 ROBERT OSTRANDER: Yeah. Hi, Dr.
11 Powell. I can't say enough how much I appreciate
12 the way you have run these meetings through these
13 very difficult times, calmly, in an organized
14 way, and firmly.

15 The other comment I want to make and
16 it applies to you, but it applies to this group
17 at large, sitting on this panel as a family
18 physician who isn't even really an academic
19 family physician, I do have my rural medicine
20 teaching from a small practice, sitting on a
21 panel like this could be intimidating, it would
22 be very easy for you and others to be, you know,

1 rather dismissive of a non-subject matter expert
2 who really is just a country doctor. But it's
3 never been that way. I've always felt incredibly
4 respected as an equal and it takes the right hand
5 leadership to make that happen and have these
6 conversations.

7 So, again, on my own behalf, and on
8 behalf of the Academy of family physicians, I
9 can't say enough how impressed and how much I
10 appreciate your leadership.

11 CYNTHIA POWELL: Thank you, Bob.

12 SOOHYUN KIM: Natasha Bonhomme.

13 NATASHA BONHOMME: Thank you. I
14 just wanted to take a few seconds to say -- to
15 echo what everyone has said and really, you know,
16 what I really appreciate about you, Dr. Powell,
17 is always your willingness and your availability
18 to speak to advocacy organizations and families
19 who are learning and making a great positive
20 impact in this space.

21 I think anytime I've asked you, even
22 if you've done everything you could to shift your

1 schedule around and to send any information if
2 you couldn't be there in either in person or
3 virtually, and I really appreciate that. It
4 really does mean a lot and it makes a difference
5 when people can say, oh wow, the Chair of this
6 critical Committee is willing to talk to me as a
7 parent or to me as an advocacy leader. So, I
8 just really appreciate that and look forward to
9 working with you in the future.

10 CYNTHIA POWELL: Definitely. Thank
11 you, Natasha.

12 SOOHYUN KIM: Debra Freedenberg.

13 DEBRA FREEDENBERG: Hi, Cindy. I'm
14 going to echo most of what other people have
15 said. But what I'd also like to thank you for is
16 your leadership, and not just as part of the
17 Committee, but overall, in the field of medical
18 genetics and your thoughts and approach to
19 leadership, always thinking about patients, and
20 always trying to improve the system, not just for
21 one individual, but working to impact the whole
22 country basically.

1 So, we've known each other for a
2 number of years and it's been my pleasure to
3 watch your leadership and watch and learn from
4 you, and so thank you for the work you've done.

5 CYNTHIA POWELL: Thanks, Debbie.

6 SOOHYUN KIM: Gerard Berry.

7 GERARD BERRY: Yes. Cindy, we've
8 been friends for a long time, but I've only been
9 on the Committee for a few sessions now. But I'm
10 just amazed at how you're able to handle and with
11 your beautiful approach to make everyone feel
12 comfortable and yet to get so much done, that
13 it's been a real pleasure for being here and
14 working with you. And I also want to thank you
15 for everything you've done for the -- for the
16 SIMD Society and all the planning, how you helped
17 with the programs in the past. So, I know
18 everyone will miss you.

19 CYNTHIA POWELL: Thanks, Jerry.

20 SOOHYUN KIM: Alex Kemper.

21 ALEX KEMPER: So, Cindy, I'd just
22 like to reflect a couple things from my time

1 working with you and the Evidence Review Group.
2 First of all, one of the things that's really
3 impressive to me is that you really keep patients
4 and family health outcomes as your North Star.
5 You're really focused on doing those things that
6 improve the health and well-being of everyone,
7 and I think that that's really impressive.

8 The second thing is your role with
9 the Advisory Committee is a voluntary one and I
10 just want to make sure that everyone recognizes
11 the frequency with which the two of us and the
12 rest of our group meets to make sure that we're
13 doing those things that ultimately help the
14 Advisory Committee make the right decision and,
15 you know, other people will talk about your quiet
16 leadership and it just makes me think of the Mark
17 Twain quote: There are basically two types of
18 people. People who accomplish things and people
19 who claim to accomplish things. The first group
20 is less crowded, and you clearly are in that
21 first group. So, just with a thank you.

22 CYNTHIA POWELL: Thank you, Alex.

1 SOOHYUN KIM: Thank you so much,
2 everyone, and again Dr. Powell, thank you again
3 for your service. And with that, I will turn it
4 back to you.

5 CYNTHIA POWELL: Thank you and thank
6 you to all the Committee members and
7 organizational representatives who also donate
8 all of your time and they're so important to the
9 success and the workings of this Committee.

10 Well, I'd now like to announce that
11 Dr. Ned Calonge will be the incoming Chair. Dr.
12 Calonge is an Associate Professor of Family
13 Medicine at the University of Colorado School of
14 Medicine and Associate Professor of Epidemiology
15 at the Colorado School of Public Health.

16 Recently, he stepped down as the
17 President and CEO of the Colorado Trust, a
18 private health grant making -- private health
19 grant-making foundation. Prior to that, he was
20 the Chief Medical Officer for the Colorado
21 Department of Public Health and Environment.

22 Nationally, Dr. Calonge chairs both

1 the Community Preventive Services Task Force for
2 the Centers for Disease Control and Prevention
3 and the board on Population Health and Public
4 Health Practice for the National Academy of
5 Medicine, Health, and Medicine Division.

6 In the past, he chaired other
7 evidence-based recommendation groups, including
8 the US Preventive Services Task Force and the
9 CDC's Evaluating Genomic Applications for
10 Practice and Prevention Workgroup.

11 He was also a member of this
12 Advisory Committee on Heritable Disorders in
13 Newborns and Children from 2009 through 2011.

14 He has MD from the University of
15 Colorado School of Medicine and an MPH in
16 Epidemiology from the University of Washington
17 School of Public and Community Medicine.

18 Dr. Calonge will officially chair
19 the Committee starting at the next meeting, but
20 he was able to join us this morning. Welcome, I
21 would like to open the floor to Dr. Calonge to
22 say a few words.

1 NED CALONGE: Thanks, Dr. Powell.
2 Well, I am both blessed and intimidated by
3 following in the footsteps of Dr Powell. I
4 think, where you brought the Committee to and how
5 things are working now and the direction is so
6 rewarding and so excellent and in such good
7 shape. But then I heard that I'm following a
8 leader who's gentle, wise, intelligent, and
9 quiet. I'm not sure I have any of those
10 attributes, but I will aspire to them, I promise
11 you.

12 I was listening to Dr. Ostrander and
13 I remember my dad, who was a rural family doc,
14 who would start talking in a room and the way he
15 would put people at ease would be to sit back,
16 loosen his tie, and say well, I'm just a simple
17 country doctor. So, I thought I should be able
18 to emulate that and when I start talking to
19 groups, just sit back, take my tie off, and say
20 I'm just a simple clinical epidemiologist. And
21 it just doesn't always seem to have the same
22 impact.

1 But I'm not a pediatrician, I'm not
2 a newborn screening expert. I am a methodologist
3 in evidence-based synthesis and recommendations.
4 And Dr. Kemper and I and others worked on the --
5 to bring you the methods that you still use
6 today, the matrix.

7 Dr. Jelili and I and Alex worked on
8 the extension for readiness and feasibility. So,
9 I'm well versed in those methods and every group
10 that Dr. Powell talked about, I've been very
11 active in creating the methodology for those
12 translations.

13 Since then, I've gone on to work on
14 methodology for Public Health Emergency
15 Preparedness and Response recommendations and
16 actually Alex and I have been working on
17 environmental exposures with the National
18 Academy, and that -- that experience is
19 noteworthy because we -- our study committee on
20 PFOS, which is environmental contaminants. It
21 was the very first time that the National
22 Academies used the town hall format to get in-

1 depth input from communities and individuals
2 actually impacted by exposure to this
3 environmental toxin. So, I have a real strong
4 feeling around engagement at advocacy. I think
5 the challenge of a Committee like the Advisory
6 Committee is finding the right interface between
7 advocacy, expertise, and evidence and I will tell
8 you that I think the way we keep active and we
9 keep relevant and people listen to us is to have
10 a rigorous approach to using methods consistently
11 across different topics.

12 I remember when we added SCID to the
13 RUSP during my first time with the Committee and
14 I had a friend in the evidence-based world call
15 me up and say really? And the great part about
16 it was that we had the methods and evidence
17 review to be able to say, absolutely, we know
18 that the benefits will outweigh any risks and
19 that this intervention is going to save lives and
20 that kind of -- the USPSTF used to call it
21 bulletproof recommendation. What they meant is
22 that you can't assail it on the basis of science

1 and I think that's a very important thing for us
2 to keep in mind as we move forward in adding
3 conditions to the RUSP.

4 I think the other thing is to make
5 sure we pay attention to what you talked about a
6 lot yesterday, which is implementation because
7 adding a condition to the RUSP is really only the
8 start, and it means nothing if it's not
9 implemented, implemented systematically,
10 implemented well and with the follow up that's
11 necessary. And as everyone talked about, we're
12 working with a non-system of 50 or more different
13 state laboratories, different approaches, and
14 different legislature.

15 So, I was in Colorado when the state
16 lab director and I brought in tandem mass. We
17 were using the university lab and then we brought
18 it into the state lab and had to convince the
19 legislature that the cost of these machines and
20 the addition of this to the state laboratory was
21 going to be good for the people of Colorado.
22 This is in a -- in a tax limitation state where

1 any spending is difficult.

2 So, I think keeping implementation
3 in mind, evidence in mind, and that interface
4 with advocacy and expert opinion is just a really
5 important fine line that the Committee has to
6 walk.

7 This is my -- I've just finished my
8 fourth career. I started in academic family
9 medicine, then did preventive medicine for Kaiser
10 Permanente, then was the Chief Medical Officer
11 for the state of Colorado, and then spent the
12 last eleven years pursuing health equity through
13 philanthropy for the state of Colorado.

14 I was really pleased, Dr. Powell, to
15 hear about the emphasis on equity moving forward.
16 This is an area of passion of mine and something
17 that I think the entire Committee needs to keep
18 in mind as we move forward. We want to make sure
19 we have recommendations that improve equity and
20 don't unintentionally create new inequities.

21 So, I wanted you to kind of know my
22 area of focus, my areas of passion, my past, how

1 humbled I am to be in this role, and to follow
2 Dr. Powell, and how much I'm looking forward to
3 working with the Committee and the advocates and
4 the groups that are represented around the table,
5 not to mention a fantastic staff who've already
6 made the transition so easy for me. So, thanks
7 to you all and I can't wait to get started.

8 CYNTHIA POWELL: Thank you, Dr.
9 Calonge. Speaking on behalf of the Committee
10 members, we're very happy that you're willing to
11 step into this position, and as I pass the
12 proverbial gavel to you, I know I leave the
13 Committee in excellent hands, and thank you,
14 again.

15 All right. Before I review the plan
16 for today, I'd like to announce the upcoming
17 Public Health Genetics Week that will take place
18 from May 23rd to 27th. The HRSA-funded National
19 Coordinating Center for the Regional Genetics
20 Network hosts Public Health Genetics Week to
21 increase awareness and celebrate the efforts of
22 the Public Health Genetics System. To celebrate

1 the week, there are daily themes that focus on
2 different aspects of the Public Health Genetics
3 System.

4 I'd like to highlight the day four,
5 May 26th theme, which is Public Health Screening
6 and will highlight the newborn screening system.
7 For more information on all the events and
8 resources, please visit their website at
9 www.phgw.org. Next slide, please.

10 Today, we begin with the second
11 public comments period. We will hear from Niki
12 Armstrong from Parent Project Muscular Dystrophy,
13 from Richard Poulin from Special Education
14 Teaching and Learning, Inc. We will also hear
15 from individuals who will provide public comments
16 on the Committee vote on Krabbe disease including
17 Jacque Waggoner, Natasha Spencer, Karlita
18 Blackwell, Joanne Kurtzberg, and Dieter Matern.

19 Then, we will hear from the
20 Nomination and Prioritization Workgroup who will
21 give a summary of the nomination package for
22 Krabbe disease.

1 Following the presentation, the
2 Committee will have an opportunity to discuss the
3 nomination package and hold a vote on whether or
4 not to move Krabbe disease forward to full
5 Evidence-Based review.

6 After a brief break, the Committee
7 will receive a presentation on the Newborn
8 Screening Family Education Program.

9 We plan to adjourn the meeting at
10 12:40 p.m. Eastern time. I'll now turn things
11 over to Soohyun.

12 SOOHYUN KIM: Thank you, Dr. Powell.
13 I will briefly review the guidance for
14 participating in this virtual meeting. Members
15 of the public, please make sure to have your
16 computer speakers turned on, as audio will come
17 through them. If you cannot access audio from
18 your computer, you can dial into the meeting
19 using the telephone number in the e-mail with
20 your Zoom link.

21 Committee members and org reps,
22 audio will come from your computer speakers and

1 you'll be able to speak using your computer
2 microphone. If you can't access through your
3 computer, you can dial into the meeting using the
4 number in the e-mail with your user-specific Zoom
5 link that you received this morning.

6 Please remember to speak clearly and
7 state your full name to ensure proper recording.
8 For discussions, the Chair will call the
9 Committee members and then organizational
10 representatives.

11 Please use the raise hand feature
12 when you would like to make comments or ask
13 questions. You can click on the participant icon
14 and choose raise hand. This feature may be in a
15 different location depending on your device or
16 operating system. If you're having trouble,
17 please consult the webinar instructions page in
18 your briefing book. Next slide, please.

19 As a reminder, to enable closed
20 captioning, please select the closed captioning
21 icon on your Zoom taskbar and once the menu
22 appears, select show subtitles.

1 I will turn it back over to Dr.
2 Powell.

3 **PUBLIC COMMENTS**

4 CYNTHIA POWELL: Thank you. In
5 today's public comment session, we will hear from
6 seven individuals who have requested to provide
7 oral public comments. As mentioned yesterday,
8 some of the speakers also provided a written
9 version of their public comments, which the
10 Committee received prior to the meeting.

11 We'll first hear from Niki
12 Armstrong.

13 MEETING OPERATOR: We're working on
14 promoting Niki. It will just take a moment.

15 NIKI ARMSTRONG: Hello, can you hear
16 me?

17 CYNTHIA POWELL: Yes.

18 NIKI ARMSTRONG: Hello?

19 CYNTHIA POWELL: Yes, we can hear
20 you. Can you hear us? We can hear you. Now we
21 can't hear you. We can hear you.

22 NIKI ARMSTRONG: Okay. How about

1 now?

2 CYNTHIA POWELL: Yes. Now, we can
3 hear you.

4 NIKI ARMSTRONG: Apologies. With
5 the cut over to being the panelist, I seem to
6 have lost all my sound, which was working fine
7 earlier. So, my apologies for that.

8 CYNTHIA POWELL: Okay.

9 NIKI ARMSTRONG: Hi. My name is
10 Niki Armstrong. I'm speaking today on behalf of
11 Parent Project Muscular Dystrophy and the
12 Duchenne patient community. Thank you for the
13 opportunity.

14 I serve as the Newborn Screening
15 Program Manager for PPMD, and I am pleased to
16 provide an update about our Duchenne newborn
17 screening efforts. We are thrilled to announce
18 our RUSP nomination package will be submitted
19 later this quarter.

20 PPMD has been working for more than
21 ten years to develop the infrastructure needed
22 for newborn screening in Duchenne. This includes

1 our successful two-year pilot in New York state,
2 which was completed last fall. In that pilot,
3 more than 36,000 babies in New York state had
4 newborn screening for Duchenne. We identified
5 four boys with Duchenne or Becker and one carrier
6 female. The incidence of four boys out of about
7 18,000 male birth is consistent with past
8 research showing an incidence of about 1 in 5000
9 males.

10 At least one state is initiating
11 steps to consider adding Duchenne to their
12 newborn screening panel and two other pilot
13 programs, including the RTI Early Check DMD Pilot
14 in North Carolina and the Cure Duchenne Brigham
15 Women's Hospital Supplemental DMD Newborn
16 Screening Program are currently ongoing in the
17 US.

18 Each program is slightly different,
19 which increases the depth of our Duchenne newborn
20 screening knowledge and demonstrates that
21 Duchenne newborn screening can work in diverse
22 states.

1 The learnings from all these efforts
2 are nearly compiled into the RUSP nomination
3 package, which you will be considering in the
4 near future. As you know, this is a monumental
5 effort for advocacy groups and our disease
6 community.

7 We remain focused on removing the
8 diagnostic odyssey and changing the journey for
9 how children with Duchenne are diagnosed. No
10 more families going through a two-year or longer
11 diagnostic odyssey. Instead, we foresee babies
12 identified on newborn screening with Duchenne or
13 Becker receiving expert clinician follow-up,
14 initiating approved therapies when they will
15 provide maximum benefit, participating in
16 clinical trials without fear of aging out, and
17 enrolling in early intervention therapy services
18 during those critical early years. Only then can
19 we truly support these families in our community
20 from the beginning.

21 Today, we would like to extend our
22 gratitude to all of the families, experts, and

1 partners who have helped us get this far. So
2 many people have worked on this effort.

3 With five approved therapies and a
4 research pipeline filled with potential
5 therapeutic interventions, newborn screening will
6 provide optimal opportunities for care and
7 treatment in Duchenne. Thank you.

8 CYNTHIA POWELL: Thank you. We'll
9 now go to Jacque Waggoner.

10 JACQUE WAGGONER: Hello, can you
11 hear me?

12 CYNTHIA POWELL: Yes. Thank you.

13 JACQUE WAGGONER: Good morning. I
14 am Jacque Waggoner, the CEO of the Hunter's Hope
15 Foundation. Hunter's Hope was co-founded by my
16 daughter Jill and her husband Jim Kelly in 1997
17 shortly after my grandson Hunter was diagnosed
18 with Krabbe disease.

19 When Hunter was diagnosed, I quit my
20 job to help my daughter with his constant care.
21 I know firsthand the devastation of this disease
22 on the affected child and on the entire family.

1 My heart breaks over and over again every time I
2 learn of this symptomatic diagnosis of another
3 precious child. I know too well what their
4 future holds.

5 Hunter was a seemingly healthy baby
6 at birth, but soon became inconsolable. He spent
7 most of his waking hours screaming and was
8 misdiagnosed with formula issues, failure to
9 thrive, and CP. At four months old, because
10 Hunter's health continued to decline, he was
11 tested for leukodystrophy and our worst nightmare
12 came true.

13 On June 23, 1997, after three long
14 painful months of trying to figure out what was
15 wrong with Hunter, he was diagnosed with Krabbe
16 disease. We were told to take him home and make
17 him comfortable and wait and watch him die and
18 that he would most likely die by his first
19 birthday.

20 Although Hunter had an entire team
21 of experts helping to provide him with
22 exceptional care, he suffered tremendously.

1 Hunter never spoke a word, yet he was able to
2 communicate. He blinked once for yes and three
3 times for I love you.

4 Hunter exemplified courage and
5 toughness as he defied medical prognosis by
6 living a valiant eight and a half years.

7 Since 2009, when this Committee last
8 reviewed Krabbe and voted against its inclusion
9 on the RUSP at a vote of 8 to 7, we know of 136
10 children who have been born in the United States
11 and symptomatically diagnosed with Krabbe
12 disease, too late for disease-altering treatment.

13 Because of this tremendous need, we
14 have continued to tirelessly advocate for Krabbe
15 newborn screening by partnering with families
16 across the United States. We've also partnered
17 with a team of experts to make continual
18 improvements to Krabbe newborn screening and to
19 fill the gaps that this Committee identified in
20 its first review of the disease.

21 It is only with a clear view of this
22 dreadful disease that that one can fully

1 understand the immense hope of newborn screening
2 for Krabbe.

3 The difference between
4 symptomatically diagnosed children with Krabbe
5 and Krabbe newborn screening children who have
6 undergone transplant is astounding. These
7 children speak and laugh, they play, they go to
8 school and most importantly, they are alive.

9 For Krabbe, newborn screening is a
10 matter of life or death. With it, children have
11 the chance for disease-altering treatment.
12 Without it, children will suffer a painful and
13 certain death.

14 We need your help to ensure that
15 every child with Krabbe has the opportunity to
16 live the best life possible. Thank you.

17 CYNTHIA POWELL: Thank you. We'll
18 next hear from Natasha Spencer.

19 NATASHA SPENCER: Good morning,
20 everyone. My name is Natasha Spencer, and I live
21 in Chicago Illinois. In 2011, our son Keenan
22 Witczak was diagnosed at the age of 8 months with

1 early infantile Krabbe disease. Because he was
2 symptomatically diagnosed, rather than being
3 identified through newborn screening, we missed
4 our opportunity to intervene with the stem cell
5 transplant.

6 The first two years of Keenan's life
7 were riddled with rapid neurological
8 deterioration, including painful muscle spasms,
9 excruciating nerve pain, and an inconsolable
10 irritability in the form of a high-pitched scream
11 caused by the swelling of his brain, as the
12 myelin of his white matter deteriorated.

13 The somatic damage that followed was
14 extensive. Keenan lost the tone in his muscles
15 and the ability to move his body voluntarily,
16 including his smile. He lost this function to
17 swallow and was fitted with a G-tube for
18 nourishment. He lost the means to vocalize
19 before forming his first words. His pupils
20 stopped dilating according to light in room
21 blowing out his vision. Keenan was just
22 beginning to understand the world around him when

1 one by one, Krabbe disease shut his senses down.

2 The most troubling symptom was his
3 respiration rate. He went from a toddler's
4 average of 20 to 30 breaths per minute to 4 to 8
5 breaths per minute, while still maintaining his
6 oxygen levels near 100%. His body had an amazing
7 ability to physiologically adapt and compensate
8 until it no longer could.

9 Looking back at a tight regime of
10 around-the-clock medications and feedings, the
11 daily respiratory treatments, the weekly
12 therapies to combat morbidity, coordinating the
13 above, advocating for health care and nursing,
14 honestly it felt like this is all I did.

15 To remedy my guilt of not playing
16 with him more and having fun, I had to remind
17 myself that following through on these measures
18 is what it meant to parent Keenan.

19 For as much as these interventions
20 were ingrained into my vocabulary, my autopilot
21 essential to sustaining and elongating his life
22 for seven years, it all left me almost the second

1 he did.

2 He died on May 31, 2018. In that
3 moment, I was relieved of my duties as his
4 doctor, his nurse, his therapist, his social
5 worker, and his case manager. I tended to his
6 body as a grief-ridden mother would. It took
7 Keenan dying for me to resume my intended role.

8 What remains, and when I reflect
9 upon now, is the emotional resonance of
10 everything we went through. We all know and
11 understand how completely dependent on us a
12 newborn is. With each physical and developmental
13 milestone, they separate from us, gaining more
14 independence and autonomy in the world. This is
15 the normal, healthy trajectory.

16 Not the case, however, when you have
17 a medically complex child with Krabbe disease, it
18 is the opposite.

19 The older Keenan became, the further
20 into the disease, he went, the more compromised
21 his brain stem, the greater the pressure on our
22 parent/caregiver/child relationship.

1 The irony is within his extreme need
2 of me, our symbiotic attachment and the intimacy
3 of our nonverbal communication, I had little
4 understanding of who he really was. What was his
5 favorite color? What music did he like? What
6 story did he want to hear next? What did Krabbe
7 disease really feel like? All those little
8 pieces of information that collectively
9 distinguished his personality were locked inside
10 him. It got to the point where I dreaded his
11 birthday and Christmas every year. Everyone
12 asked me what they should get him. What should
13 you get him? What should we get him? What
14 should Santa bring him? There's great pain for
15 me in not ever knowing.

16 Although altruistic, it is
17 depressing to choose fundraising for disease over
18 fulfilling your child's desires.

19 Keenan's brain and tissue are stored
20 in the Neural Development and Rare Disorders
21 Repository contributing to research. He goes to
22 work every day advancing our knowledge of Krabbe

1 disease. And although we have made significant
2 gains in the past decade, his donation is a
3 physical reminder of our children's sacrifice and
4 the quality of life at stake. Thank you.

5 CYNTHIA POWELL: Thank you. We'll
6 next hear from Karlita Blackwell.

7 KARLITA BLACKWELL: Good morning,
8 and thank you for this opportunity. My name is
9 Karlita Blackwell and I reside in Missouri with
10 my husband Ryan and our 5-1/2-year-old son Ezra.
11 In October of 2016, we received his newborn
12 screening results, confirming the diagnosis of
13 Krabbe leukodystrophy. While overcome a shock
14 and devastation, we were later given a glimpse of
15 hope when informed of treatment in the form of a
16 stem cell transplant due to catching his disease
17 early while he was pre-symptomatic.

18 The same evening of his diagnosis,
19 we were connected with Krabbe expert, Dr.
20 Kirchberg, at Duke University and made the
21 decision to travel there for his life-saving
22 treatment.

1 It has now been over five years, and
2 I can confidently say there isn't one day that
3 goes by that I don't think to myself, what if he
4 hadn't been screened, and what if we would have
5 missed this.

6 So, I'd like to tell you all, what
7 we would have missed. We would have missed a
8 little boy who can't wait for his first day of
9 kindergarten in the fall after three successful
10 years of preschool. A boy who would eat donuts
11 and bacon for every meal if we let him. We would
12 have missed a little boy who loves riding his
13 bike, going to the beach, and riding horses. A
14 little boy who gives the best kisses, loves
15 dancing in the car, and is the first to tell a
16 silly joke. He has never met a stranger or an
17 animal he doesn't love. Chickens are his
18 favorite. We would have missed a boy whose joy
19 is contagious, his laugh is infectious, and his
20 resilience is unmatched. He is a little boy who
21 works harder than anyone I've ever met and
22 welcomes all that life has to offer him with

1 curiosity and a smile on his face his life. His
2 life is full, his life as gratifying, and his
3 life is invaluable. And if you're lucky enough
4 to meet him, that clearly shines through.

5 We could have missed this and I know
6 far too many families who have unjustly missed
7 these precious moments with their children
8 because they were not given the same opportunity
9 for treatment due to not being screened at birth.

10 We cannot give these parents those
11 moments back, but we can create change to ensure
12 that no other families miss those moments, and
13 that no other child is resigned to an early and
14 painful death. Thank you for your time and
15 consideration.

16 CYNTHIA POWELL: Thank you. We'll
17 next hear from Dr. Joanne Kurtzberg.

18 JOANNE KURTZBERG: Hi, can you hear
19 me okay?

20 CYNTHIA POWELL: Yes.

21 JOANNE KURTZBERG: Okay, great.

22 Well, hello, everyone. My name is Dr. Joanne

1 Kurtzberg, and I'm the Director of the Marcus
2 Center for Cellular Cures at Duke.

3 I started in directing the Pediatric
4 Blood and Marrow Transplant Program at Duke
5 University Medical Center where we've
6 transplanted nearly 400 infants and children with
7 leukodystrophy including 60 with Krabbe disease,
8 which is the largest experience in a single
9 center. As you know, Krabbe disease is a
10 medically serious, life-threatening condition
11 that in its most common and most severe form
12 affects young infants in the first year of life.
13 The incidence is estimated to be approximately 1
14 in 100,000 births. Without treatment, as you've
15 heard, infants develop feeding problems,
16 spasticity, extreme irritability, seizures,
17 blindness, profound developmental delay, and die
18 within the first few years of life.

19 Hematopoietic stem cell
20 transplantation, if performed in the first month
21 of life or in the pre-symptomatic phase of
22 disease, significantly extends and improves

1 quality of life.

2 For this reason, it's become the
3 standard of care of for patients with Krabbe
4 disease.

5 Newborn screening for Krabbe
6 disease, initially piloted in New York state, has
7 come a long way from the early days. As you'll
8 hear from Dr. Matern, the addition of psychosine
9 as a second-tier test transforms the ability to
10 definitively identify babies with infantile
11 Krabbe disease in a matter of days.

12 The use of psychosine has also
13 greatly reduced the number of false positive
14 newborn screening results.

15 The vast majority of families who
16 conceive a baby with Krabbe disease don't know
17 they're at risk. They learn when their sick and
18 symptomatic baby is finally diagnosed after
19 months of going from doctor to doctor to find out
20 what is wrong. At this point, it's too late to
21 help their baby. In fact, not a week goes by,
22 where I'm not contacted by a family whose baby

1 was just diagnosed with Krabbe disease, who are
2 desperate for help, and I have nothing but
3 compassion to offer.

4 In the first years of
5 transplantation of babies with Krabbe disease, we
6 learned that the procedure was not effective in
7 babies who already had clinical symptoms.
8 However, in pre-symptomatic babies, we learned
9 and published in the *New England Journal of*
10 *Medicine* in 2005 that transplant prolonged life
11 by decades and improved neurologic function and
12 quality of life.

13 While transplant is not a cure, it's
14 a highly effective treatment that transforms the
15 lives of babies with the infantile Krabbe disease
16 and their families. At the very least, these
17 newborns should be identified in a timely
18 fashion, so that their parents can be given the
19 opportunity to make a choice about whether their
20 child should undergo this procedure.

21 Newborn screening is the only way to
22 identify these babies at a time when treatment

1 can make a difference.

2 While this is true for transplant
3 today, it will also be true for gene therapy and
4 other innovative therapies that are in early
5 clinical trials today and are expected to be
6 available within the next few years.

7 For these reasons, and as a
8 physician and a person who has directly witnessed
9 the human suffering caused by Krabbe disease, I
10 strongly encourage the Advisory Committee on
11 Heritable Disorders in Newborns and Children to
12 vote in favor of moving Krabbe disease forward to
13 full evidence review. Thank you.

14 CYNTHIA POWELL: Thank you, and next
15 we'll hear from Dr. Dieter Matern.

16 DIETRICH MATERN: Thank you, Dr.
17 Powell, for your service and successful
18 leadership of this Committee and for giving me
19 the opportunity to return to the Committee today
20 as a private person, just a simple laboratory
21 geneticist in the southern cornfields of
22 Minnesota.

1 I'd like to speak to the nomination
2 of Krabbe disease to the Recommended Uniform
3 Screening Panel, which I support.

4 Krabbe disease was nominated by the
5 Hunter's Hope Foundation for the first time in
6 2008, underwent an evidence review, and then
7 failed to be added to the RUSP. Several reasons
8 were given to why the Committee felt that it was
9 not yet appropriate for Krabbe disease to be
10 added to the RUSP. I agreed with the Committee's
11 decision in 2010.

12 Moreover, in 2015, as a member of
13 the Advisory Committee on Heritable and
14 Congenital Disorders to the Minnesota Department
15 of Health, I voted against the addition of Krabbe
16 disease to Minnesota's newborn screening panel.

17 My primary concern pertained to the
18 screening approach at the time. As you know, New
19 York state began screening for Krabbe disease
20 already in 2006 with a procedure that was highly
21 sensitive but not very specific. After eight
22 years of screening, New York reported in *Genetics*

1 *and Medicine* a positive predictive value of only
2 1.4% for infantile Krabbe disease.

3 For a disorder like Krabbe disease,
4 I firmly believe that the false positive rate
5 must be kept as low as possible and an approach
6 based on enzyme activity alone or even with
7 sequencing of the GALC gene as a second-tier test
8 did not meet that requirement for me.

9 However, from a laboratory testing
10 perspective, Krabbe disease is not only
11 characterized by a low GALC enzyme activity and
12 disease-causing variance in the GALC gene.

13 Indeed, Dr. Orsini from the New York
14 Screening Lab was first to show that psychosine
15 can be measured in dried blood spots and is
16 elevated in babies affected with Krabbe disease.
17 Psychosine is a toxic byproduct generated when
18 the galactocerebrosidase activity is deficient.

19 Therefore, it appears to be a good
20 marker to adjudicate of the finding of reduced
21 galactocerebrosidase activity is clinically
22 relevant and not just a sign of what is known as

1 pseudo deficiency.

2 We adopted psychosine analysis at
3 the Mayo and in December 2015, the state of
4 Kentucky through legislative action added Krabbe
5 disease to its newborn screening program. This
6 prompted our colleagues in Kentucky to reach out
7 to us at the Mayo Clinic to see if we could help
8 them by performing private screening in our lab
9 700 miles away.

10 We were hesitant, given that Krabbe
11 was not yet on the RUSP, but we came to an
12 agreement under which we would screen for Krabbe
13 disease but also for Pompe disease, MPS I, and,
14 as of 2018, X-ALD.

15 While the Kentucky Screening Lab
16 wanted us to follow the New York model and use
17 molecular testing as part of the screening
18 approach, we could convince them that psychosine
19 should at least be part of the screening
20 procedure.

21 Since February 15, 2016 we have now
22 screened 350,000 Kentucky babies. We reported 2

1 cases as presumptive positive for Krabbe disease.
2 Both patients were indeed affected and both
3 received a bone marrow transplant, one on the
4 24th day of life and the other on the 30th day of
5 life. Neither transplant occurred in Kentucky,
6 but one at Duke University and the other at the
7 Children's Hospital of Pittsburgh.

8 Both patients are alive and doing
9 well at 5 years and 5 months old. Clearly, we
10 can already say that the transplant was life-
11 saving for the first patient, because patients
12 with infantile Krabbe disease rarely survive
13 beyond 2 years old.

14 Please also note that neither
15 patient had a genotype of certain significance,
16 meaning there was one known pathogenic variant in
17 combination with the variant of uncertain
18 significance in the first case and with only a
19 likely pathogenic variant in the other.

20 Accordingly, I am certain that these
21 babies would not have received a diagnosis and
22 transplants as quickly as they did if psychosine

1 had not been part of the screening process.

2 I also want to point out that the
3 nomination under your consideration today is not
4 to just add Krabbe disease to the RUSP, but also
5 recommends a screening approach that is based on
6 measurement of galactocerebrosidase activity as
7 primary screening test with psychosine
8 measurement employed as a second-tier test. Only
9 if psychosine is elevated should the screening
10 result be considered positive. Given the current
11 data, the nominators are aware that this approach
12 should identify all new ones with infantile and
13 late infantile Krabbe disease but may not uncover
14 all cases of juvenile or adult Krabbe disease.

15 Therefore, the nomination before you
16 recommends adding infantile and late infantile
17 Krabbe disease to the RUSP as a core condition
18 and the later onset forms of Krabbe probably
19 disease as secondary targets.

20 This approach would follow the
21 example of spinal muscular atrophy, where your
22 Committee defined explicitly what constitutes SMA

1 and acknowledged that this compromise would
2 identify most cases of SMA, while avoiding
3 identification of an unmanageable number of SMN-1
4 variant carriers.

5 In conclusion, I want to reiterate
6 my support of the nomination of Krabbe disease to
7 the RUSP, as described in the nomination package.

8 As you heard from speakers before
9 me, the clinical knowledge gaps have been closed
10 as much as possible, relevant follow-up and
11 monitoring guidelines have been developed and
12 published, and I just reminded you that the
13 laboratory screening can be efficient and
14 effective.

15 As closing remarks of the
16 nominations cover letter state, after nearly
17 sixteen years of newborn screening for Krabbe
18 disease and now 30 percent of US newborns already
19 being screened for Krabbe disease, I firmly
20 believe that it is time to add Krabbe disease to
21 the RUSP using the screening approach outlined in
22 the nomination. The consequence can be equitable

1 access to timely and life-saving treatment for
2 every US child with Krabbe disease, while
3 minimizing the negative impact of false positive
4 results.

5 Thank you for your continued
6 consideration of this nomination and I'm happy to
7 answer any questions you may have today or at any
8 other time.

9 CYNTHIA POWELL: Thank you. We were
10 also supposed to hear from Richard Poulin, and we
11 hadn't been able to identify him. If he is
12 present, if he could raise his hand and let us
13 know that he is available to give his comments.
14 Well, it looks like he was unable to join us
15 today.

16 I'd certainly like to thank everyone
17 who provided public comments today and we will go
18 on now to discuss the Krabbe nomination.

19

20 **KRABBE NOMINATION SUMMARY**

21 The Committee received a nomination
22 to include Krabbe disease to the Recommended

1 Uniform Screening Panel. To briefly review the
2 nomination process, the first step is for HRSA to
3 conduct the initial review for completeness.
4 After it has been determined that the nomination
5 package has all of the required components, the
6 Nomination and Prioritization Workgroup reviews
7 the information submitted in the package and
8 provides the Committee with a summary and
9 recommendation as to whether or not the condition
10 ought to move forward to a full evidence review.

11 The Committee will then vote to
12 assign or not assign the nominated condition to
13 the external Evidence Review Group that conducts
14 the Evidence-Based review and, as noted by our
15 public commenters, as a bit of background, the
16 Committee heard the external Evidence-Based
17 review after Krabbe was initially nominated and
18 in 2010, the voted to not recommend inclusion on
19 the RUSP and the conditions -- the reasons at
20 that time were due to the case definition lacking
21 and that the testing algorithm, as well as the
22 treatment and outcomes. Next slide, please.

1 Oh, first, I'd like to recognize the
2 members of the N&P Workgroup including Kyle
3 Brothers, Carla Cuthbert, Shawn McCandless, and
4 Scott Shone and thank them for many hours that
5 they spent with reviewing of this nomination
6 package. Next slide, please.

7 So, Krabbe disease is an autosomal
8 recessive lysosomal storage disease caused by
9 homozygous or compound heterozygous pathogenic
10 variance in the gene coding for the enzyme
11 galactocerebrosidase or GALC. It is also known
12 as globoid cell leukodystrophy. The most severe
13 form is the infantile form or infantile Krabbe
14 disease, IKD, and that is the intended target for
15 newborn screening, as well as the late infantile
16 form.

17 Infants with the infantile form
18 develop symptoms in the first 2 to 12 months of
19 life. Symptoms include irritability, feeding
20 difficulties, failure to thrive, spasticity,
21 vision loss, seizures, aspiration pneumonias,
22 loss of fine and gross motor skills, and loss of

1 communication skills. Most children die by 2
2 years of age.

3 Other forms include the late
4 infantile form of Krabbe disease with onset from
5 1 to 3 years of age, juvenile Krabbe disease with
6 the onset at 4 to 17 years of age, and adult
7 Krabbe with onset above 18 years of age. Next
8 slide, please.

9 So, the sponsoring nominating
10 organization was Hunter's Hope Foundation and co-
11 sponsors included several researchers and expert
12 clinicians, and at least a couple of them you've
13 heard from today. Next slide, please.

14 So, the Nomination and
15 Prioritization Workgroup in reviewing a
16 nomination package needs to enter -- needs to
17 reflect on three key questions that I'll review
18 now.

19 First is, is there prospective pilot
20 data in the US or internationally from
21 population-based assessments available for this
22 disorder, and we answered yes to that. There are

1 currently 9 states screening for Krabbe disease.
2 At the time of the nomination package submission,
3 there were 8 programs in the US. States that
4 have been screening for Krabbe disease include
5 New York, Missouri, Illinois, Kentucky, New
6 Jersey, Tennessee, Ohio, Indiana, and
7 Pennsylvania.

8 At the time the nomination package
9 with submitted, 5.7 million babies had been
10 screened for Krabbe disease. A total of 15
11 babies were identified with infantile Krabbe
12 disease through newborn screening and 2 more had
13 been diagnosed in the second year of life with
14 late infantile Krabbe disease, for an estimated
15 incidence of 1 in 338,418. Next slide, please.

16 The next question regards does the
17 screening tests have established analytic
18 validation. There are several laboratory-
19 developed tests being used for newborn screening
20 for Krabbe disease, but there is an FDA-approved
21 kit that screens for 6 lysosomal storage
22 disorders using tandem mass spectroscopy.

1 First-tier population screening
2 utilizes measurement of GALC activity and also
3 detects carriers and those with pseudo
4 deficiencies and, as pointed out by Dr. Matern,
5 was not very specific for diagnosing those with
6 infantile or late infantile forms of Krabbe
7 disease. It has low specificity.

8 CLIR was suggested to decrease the
9 percent of positive screens in New York state.
10 Utilizing CLIR, it decreased the need for repeat
11 GALC analysis from 0.44% to 0.09% and the need
12 for second-tier from point 0.035% to 0.005%.

13 The workgroup did have some concerns
14 about the availability of CLIR for all state
15 newborn screening labs. Next slide.

16 Also, as pointed out, use of
17 psychosine as a second-tier screening method is
18 also being utilized. Psychosine is a substrate
19 for GALC and in Illinois, 0.06% of cases required
20 second-tier psychosine.

21 Measurement of psychosine is a
22 laboratory-developed test. It's estimated to

1 cost approximately \$100 per sample. There is a
2 need, in most cases, to send the test to
3 referring testing laboratories with most newborn
4 screening labs not having the capability of
5 testing for psychosine. So, that was one
6 question that the
7 N&P Workgroup had was the availability of
8 psychosine measurement. However, it was felt
9 that the cost was reasonable for a second-tier
10 test. Next slide.

11 Continuing, some states are also
12 utilizing gene sequencing as a second- or third-
13 tier test. There is a relatively common 30 kb
14 deletion in the GALC gene and homozygosity for
15 this deletion would be consistent with infantile
16 Krabbe disease.

17 There are also a number of other
18 pathogenic variants that have been identified in
19 the gene and are known to be associated with the
20 severe form of Krabbe disease.

21 Also, gene sequencing can help
22 detect pseudodeficiency alleles, and this can cut

1 down on the number of positive screen cases.

2 Next slide, please.

3 There was a review published in 2021
4 of the Illinois experience for newborn screening
5 for Krabbe disease. Illinois began screening for
6 Krabbe disease in 2017. They found an overall
7 incidence of 1 in 250,000 cases. Between 2017
8 and 2020, they had screened 494,147 newborns and
9 they found that 838 cases required repeat testing
10 and the breakdown was utilizing of the GALC
11 activity as less than 13% and for those patients
12 who ended up having pseudodeficiency alleles,
13 there were 178 of them, reflecting 62% of the
14 send-out cases and utilizing psychosine
15 measurement as the second-tier test and a cutoff
16 of less than 2nM of psychosine, there were 178
17 cases in that category who ended up also having
18 the pseudodeficiency alleles who were then
19 diagnosed as negative for Krabbe disease and not
20 requiring any follow up.

21 There were 35 cases or 12% that were
22 sent out that had psychosine levels less than

1 2nM. There were 5 in this category that were
2 between 2-3nM and were found to have variants of
3 uncertain significance and were diagnosed as
4 having VUS and not being likely affected and did
5 not have follow up.

6 There were also 67 cases or 23% that
7 had psychosine levels less than 2, 7 between 2 to
8 3 who were found to have one pathogenic allele or
9 the heterozygous 30-kb deletion, the common
10 deletion associated with severe Krabbe disease
11 and they were categorized as being a carrier and
12 did not require follow up.

13 And then I'll next show their data
14 for those with two pathogenic mutations.

15 So, they had 6 cases that were
16 suspected of having late-onset Krabbe disease and
17 their psychosine level measurements were between
18 2 to 6. The first case had 1 heterozygous
19 pathogenic variant along with 1 variant of
20 uncertain significance, along with a
21 pseudodeficiency allele. Case 2 had a
22 heterozygous pathogenic variant, actually 2

1 heterozygous pathogenic variants and 2
2 heterozygous pseudodeficiency alleles. And then
3 the other cases have had homozygous pathogenic
4 variants or were compound heterozygous VUSs. So,
5 these patients were and are being followed long-
6 term for suspected late onset Krabbe.

7 For the 2 cases with likely
8 infantile Krabbe disease, notice that their
9 psychosine levels were 10 and 35 and 1 had
10 heterozygous pathogenic alleles and the other had
11 heterozygous likely pathogenic alleles. Next
12 slide, please.

13 Looking at the reported Illinois
14 experience in their manuscript, they stated that
15 with just measurement of galactocerebrosidase,
16 the positive predictive value for a positive
17 screen was only 2.8%. When using psychosine
18 measurement as a second-tier, the positive
19 predictive value went up to 40% and when also
20 utilizing looking for the 30-kb deletion followed
21 by sequencing, the positive predictive value was
22 100%.

1 The next key question that the N&P
2 Workgroup addressed is, is there a widely
3 available and CLIA- and/or FDA-approved
4 confirmatory test and diagnostic process, and we
5 answered yes to that.

6 Measurement of GALC activity can be
7 done in leukocytes, preferably using a high
8 sensitivity assay. Also, it's recommended that
9 psychosine analysis in another dried blood spot
10 specimen or a erythrocytes be done as part of
11 confirmatory testing, as well as
12 molecular genetic analysis of the GALC gene
13 looking for the 30-kb deletion, although it's
14 recognized that number of infantile Krabbe
15 disease cases would be negative for the 30-kb
16 deletion, but sequencing would be necessary to
17 detect the other types of pathogenic variants in
18 the GALC gene. Next slide.

19 So, regarding the condition
20 information, the N&P Workgroup looks at whether
21 the nominated condition is medically serious, and
22 the answer to that was yes. Next slide.

1 And next is, is a case definition
2 and the spectrum of the disorder well-described
3 to help predict the phenotypic range of those
4 children who will be identified based on
5 population screening. This, in the opinion of
6 the N&P Workgroup, is still unclear. There has
7 been some concern about, at least in the past, of
8 whether those based on measurement of enzyme
9 alone and of sequencing actually had the severe
10 infantile form of Krabbe disease. However, use
11 of psychosine appears to alleviate some of that
12 concern with those with infantile Krabbe disease
13 having very elevated psychosine levels, at least
14 given the information that was provided to the
15 NNP Workgroup.

16 So, psychosine measurement certainly
17 aids in identification of those with infantile
18 Krabbe disease.

19 There is some genotype phenotype
20 correlation with alleles known to be associated
21 with infantile Krabbe disease, but some infantile
22 Krabbe disease cases may be difficult to identify

1 or differentiate from late infantile Krabbe
2 disease.

3 And we'll next look at some of the
4 data provided from a manuscript that was
5 published after the initial nomination submission
6 package and during the time that the N&P
7 Workgroup was reviewing the nomination. Can we
8 go to the next slide, please?

9 So, the N&P Workgroup did go back
10 and ask the nominators to provide some additional
11 information for all known patients with Krabbe
12 disease from published and unpublished sources,
13 those who screen positive, the number of true
14 positives, the number of false negatives, those
15 with -- who were diagnosed as having infantile
16 Krabbe disease, and how they were identified, the
17 number undergoing hematopoietic stem cell
18 transplant, and also the transplant outcomes.

19 So, this slide and the next one will
20 show the data that was provided to the NNP
21 Workgroup going through each of the states that
22 have been doing newborn screening for Krabbe

1 disease.

2 First from Kentucky, there was 1
3 baby identified with infantile Krabbe disease and
4 was transplanted. This patient was found to have
5 neurodevelopmental delays but described as a
6 happy camper and the parents were glad that
7 newborn screening from Krabbe disease was
8 available when he was born.

9 The Illinois experience was that 5
10 cases of infantile Krabbe disease and 7 with
11 likely late-onset Krabbe disease or late
12 infantile had been identified; 4 had been
13 transplanted. All transplanted babies were
14 reported as doing well, except with mild
15 developmental delays. One family had declined
16 stem cell transplant.

17 In New York state, things were
18 broken down from the original method of newborn
19 screening and then after psychosine and genetic
20 molecular testing were incorporated into the
21 algorithm. So, initially, the 7 transplanted
22 cases for infantile Krabbe disease, the outcomes

1 of the first 4 patients were previously reported
2 and published by Wasserstein and others and those
3 included babies, 3 of whom had died, 2 from
4 transplant complications, 1 had been untreated, 2
5 who had received transplants are reported as
6 having moderate-to-severe developmental delays.
7 And the transplants had occurred between 24 and
8 41 days of age. Next slide, please.

9 And then, New York had done the
10 psychosine screening and there were 2 infants
11 with infantile Krabbe disease, one had been
12 transplanted but no updates were available.

13 And then in Missouri, there had been
14 2 cases with infantile Krabbe disease, 2 received
15 -- both of whom received successful transplants
16 with some developmental delays reported.

17 New Jersey had not found any with
18 infantile Krabbe, 2 had likely later-onset Krabbe
19 disease.

20 Ohio had 2 with infantile Krabbe
21 disease found through newborn screening, but Ohio
22 is unable to collect information after short-term

1 follow-up. They reported that they think that
2 both were transplanted and that 1 with later-
3 onset Krabbe disease may have been transplanted.

4 In Tennessee, there had been none
5 with infantile Krabbe disease found.

6 So, totals came to 71 total with 17
7 infantile Krabbe disease, 1 with late-onset
8 Krabbe disease and 53 with likely late-onset
9 Krabbe disease, with no symptoms, 15 had been
10 transplanted, and then the other 3 from Ohio
11 possibly transplanted. Next slide, please.

12 And this is just a point out that
13 the states that had changed their algorithms for
14 screening adding psychosine and/or genetic
15 testing. Next slide, please.

16 So, the paper that was published
17 during the period that the N&P Workgroup was
18 reviewing the nomination package was by Page and
19 others and reported results for 6 patients who
20 had been identified through newborn screening and
21 had confirmatory testing and had hematopoietic
22 stem cell transplants, and you see here in Table

1 1 the enzyme activity measured as well as the
2 psychosine levels measured on dried blood spots
3 and note that the psychosine levels for all 6
4 patients were very elevated whereas normal
5 psychosine is less than 2 or at least less than
6 3.

7 They also had additional testing,
8 including measurement of protein in the CSF and
9 underwent mutation analysis and, as you note
10 patient 3 was homozygous for the 30-kb deletion.
11 I'm not sure about patient 4 where just 1 variant
12 is reported. Whether that was actually
13 homozygous or not was not clear to us in the --
14 in the manuscript.

15 Three of the cases already had
16 abnormal MRIs and other neurological testing was
17 abnormal for several of them pre-transplant.
18 Next slide, please.

19 So, the additional information
20 regarding the condition that the N&P Workgroup
21 addresses is the characteristics of the screening
22 tests are reasonable for the newborn screening

1 system, among other aspects, a low rate of false
2 negatives, and we answered yes to this. There
3 were no known false negatives reported and again
4 that psychosine testing is a reasonable second-
5 tier test and the cost is on par with other types
6 of second-tier testing, such as dried blood spot
7 GAGs for MPS conditions. Next slide.

8 Also, is the spectrum of disease
9 broad and are those who are most likely to
10 benefit from treatment identifiable, especially
11 if treatment is onerous or risky, and we answered
12 yes to this, that infantile Krabbe disease cases
13 most, if not all, can be identified with second-
14 tier and confirmatory psychosine measurement and
15 genetic testing.

16 There are a number of patients who
17 have slightly elevated psychosine VUS alleles who
18 are being followed, not knowing whether they are
19 just carriers or have a later-onset form of
20 Krabbe disease.

21 One important thing is that studies
22 of patients with Krabbe disease have reported

1 that the greatest benefits, the best outcomes can
2 be obtained when the transplants are done within
3 the first 30 days of life and those don't include
4 necessarily those identified through newborn
5 screening, but cases where there may have been a
6 positive family history. Next slide, please.

7 The group also discussed the defined
8 treatment protocols, FDA-approved drugs, if
9 applicable, and is their treatment also and are
10 all these things available. That's still a bit
11 unclear. There are over 100 pediatrics centers
12 in the US that do hematopoietic stem cell
13 transplants, but only 9 have been doing
14 transplants for those with lysosomal storage
15 conditions, and not all have had experience with
16 Krabbe disease. However, the experts from
17 centers where transplants are done on those with
18 Krabbe disease are willing to help those who
19 don't have experience. There was a paper
20 published by Sammy Vergano and others in Virginia
21 pointing out logistical problems for those states
22 who don't have in-state centers and pointing out

1 that, especially with ideally babies being
2 transplanted within the first 30 days of life and
3 logistical issues for obtaining Medicaid coverage
4 and the ability to send those babies out of state
5 for their transplant can be very difficult. And
6 so, the N&P Workgroup feels that that will be
7 important to consider if this goes forward to
8 evidence review. Next slide, please.

9 So, are there benefits from
10 treatment, and let's go to the next slide,
11 please.

12 This is from the Page, et al paper
13 and it shows the developmental outcomes from the
14 6 patients who were transplanted after being
15 identified through newborn screening. I'll point
16 out that only patient 2 had their transplant
17 prior to 30 days; however, they all were done
18 within the first, I believe, it was 6 weeks of
19 life and it was -- they were transplanted between
20 24 to 40 days of life. All were alive at the
21 time of this report, and at that time when they
22 were last seen, they were 30 to 58 months of age.

1 All were gaining milestones and the composite
2 scores and the subscale scores were provided for
3 those 6 infants one year after their stem cell
4 transplant, and you see those at the top in A and
5 B.

6 So, the greatest difficulty was in
7 their motor skills but their language and
8 cognitive abilities were at the higher level with
9 low normal or borderline scores, and then gross
10 motor was the area where they had the greatest
11 difficulty and again, their receptive skills were
12 the strongest area in their developmental
13 assessments.

14 And then C through G show cognitive
15 growth scores during time that they were being
16 followed and indicates that they were still
17 gaining some degree of improvement in their in
18 their development. Next slide, please.

19 And this is some of the information
20 that was provided in the paper regarding their
21 motor skills, speech and language development,
22 ambulation, tone, and is seen in this

1 supplemental table 1. They were -- some were
2 able to eat by mouth, others required gastrostomy
3 tubes feedings, most were able to drink from a
4 cup, hold toys, feed themselves crackers. They
5 had at least a few words or short sentences.
6 Some had a strong use of sign language and
7 gestures and 1 was able to walk independently.
8 The others needed assistance with ambulation and
9 most had spasticity, which is common, despite
10 early stem cell transplant in patients. Next
11 slide, please.

12 So, neurodevelopmental composite and
13 subscale scores were only reported, as I
14 mentioned, for one year post transplant and other
15 descriptions were given for longer-term follow-up
16 but were descriptions and not the actual
17 developmental testing scores. Other cases
18 identified through newborn screening with
19 infantile Krabbe disease have not a lot of
20 specific information available, as noted, that
21 they're not, you know, they were noted as doing
22 well or happy campers.

1 So, should this go forward, it will
2 be important to obtain more specific information
3 regarding developmental outcomes of patients who
4 were identified through newborn screening and had
5 transplants.

6 As noted, the gross motor skills
7 were most severely impacted due to the peripheral
8 neuropathy that develops and possibly due to
9 other causes and also, as noted, patients had
10 relatively strong skills in the area of receptive
11 language. Next slide, please.

12 So, Nomination and Prioritization
13 Workgroup does recommend that Krabbe go forward
14 for full evidence review and Public Health Impact
15 Analysis, and I think that's my last slide.

16 So, we've gone over the N&P
17 Workgroup findings. I think we can transition to
18 discussion now.

19 **COMMITTEE DISCUSSION AND VOTE**

20 So, we will first have Committee
21 discussion first from -- hearing first from
22 Committee members and then from organizational

1 representatives.

2 As a reminder, please use the raise
3 hand feature. I'll call on you in order of when
4 you raised your hand. Please remember to unmute
5 yourself, speak clearly, and state your first and
6 last name before speaking. I'm not seeing
7 anybody, although it might --

8 NED CALONGE: Chanika has her hand
9 up.

10 CYNTHIA POWELL: Thank you.
11 Chanika.

12 CHANIKA PHORNPHTKUL: Hi, I'm
13 Chanika Phornphutkul, and I'm a Committee member.

14 Thank you so much for the detailed
15 presentation, I thought. I've been interested in
16 this topic as a biochemical geneticist for a
17 while and realize the New York data. I think
18 what is markedly different this time is the
19 decreased number of false positives with the
20 secondary markers and I think this will help with
21 the, you know, moving forward for the full
22 review.

1 CYNTHIA POWELL: Thank you. Any
2 other comments or questions from Committee
3 members? If not, we'll open it up to
4 organizational representatives. All right.
5 Well, I'm not seeing any more hands raised.

6 Does the Committee want to move
7 ahead with a motion? The motion would be whether
8 to move or not move Krabbe disease forward to
9 Evidence-Based Review.

10 JENNIFER KWON: I would move to move
11 this nomination forward.

12 CYNTHIA POWELL: All right. We have
13 --

14 JENNIFER KWON: I thought I would
15 try that out. It's the first time I've ever been
16 the first one to make a move, so.

17 CYNTHIA POWELL: Thanks okay.
18 There's a first time for everyone. All right, is
19 there a second?

20 CHANIKA PHORNPHTKUL: I second.

21 CYNTHIA POWELL: Thank you. All
22 right. So, are there any additional comments

1 from the Committee members before we vote?

2 JENNIFER KWON: I just wanted to
3 make a comment that I really thought the
4 presentation was very clear and detailed. There
5 are a lot of details to go through with this
6 particular newborn screening program, and I
7 appreciate the time the nomination committee
8 spent. Thanks, and I think that's probably why
9 they are so few comments. We're just speechless.

10 CYNTHIA POWELL: Thank you and
11 thanks to my fellow N&P committee or workgroup
12 members.

13 All right. Any other questions or
14 comments before we take a vote? Does any
15 Committee Member have a conflict of interest
16 regarding this vote and need to recuse
17 themselves? Are there any Committee members who
18 need to abstain from voting?

19 All right, I will read your name and
20 if you're voting to approve the Krabbe disease
21 move forward to evidence review, please say yes,
22 if you object, please say no. Kyle Brothers.

1 KYLE BROTHERS: Yes.

2 CYNTHIA POWELL: Carla Cuthbert.

3 CARLA CUTHBERT: Yes.

4 CYNTHIA POWELL: Jane DeLuca.

5 JANE DELUCA: Yes.

6 CYNTHIA POWELL: Kellie Kelm.

7 KELLIE KELM: Yes.

8 CYNTHIA POWELL: Jennifer Kwon.

9 JENNIFER KWON: Yes.

10 CYNTHIA POWELL: Shawn McCandless.

11 SHAWN MCCANDLESS: Yes.

12 CYNTHIS POWELL: Kamila Mistry. I

13 don't think she's on but just checking in case.

14 Melissa Parisi.

15 MELISSA PARISI: Yes.

16 CYNTHIA POWELL: Chanika

17 Phornphutkul.

18 CHANIKA PHORNPHTKUL: Yes.

19 CYNTHIA POWELL: And Cynthia Powell,

20 I vote yes. Scott Shone.

21 SCOTT SHONE: Yes.

22 CYNTHIA POWELL: And Michael Warren.

1 MICHAEL WARREN: Yes.

2 CYNTHIA POWELL: Thank you. I'd
3 like to thank the Committee for their thoughtful
4 consideration. Krabbe disease will be assigned
5 to the Evidence Review Group. The ACHDNC now has
6 nine months to complete the Evidence-Based Review
7 and vote on whether or not to recommend Krabbe
8 disease for addition to the RUSP.

9 **BREAK**

10 CYNTHIA POWELL: All right. We're
11 actually right on schedule. We will now take a
12 10-minute break. We'll reconvene at 11:50 a.m.
13 Eastern time and that will then proceed to our
14 last session of the meeting. Thank you.

15 **UPDATES ON NEWBORN SCREENING FAMILY EDUCATION**
16 **PROGRAM**

17 CYNTHIA POWELL: Welcome back
18 everyone. For our last session, we have a
19 presentation from the Newborn Screening Family
20 Education Program, which is a HRSA-funded program
21 whose purpose is to develop and deliver
22 educational programs about newborn screening,

1 counseling, testing, follow up, treatment, and
2 specialty services. We will hear from Ms.

3 Natasha Bonhomme and Ms. Marianna Raia.

4 Natasha Bonhomme brings nearly 15
5 years of nonprofit maternal and child health
6 experience to her role as the founder of
7 Expecting Health at the Genetic Alliance.

8 She launched Expecting Health to
9 bring together a range of consumer and
10 professional stakeholders to address the need for
11 clear, science-based information for families and
12 individuals through tangible, actionable
13 messages.

14 Her programmatic portfolio includes
15 leading Baby's First Test, a national resource,
16 which reaches over 600,000 families and health
17 providers annually, convening the Perinatal
18 Nutrition Collaborative, a coalition of
19 organizations and nutrition experts that share
20 emerging science and research efforts and
21 participating on numerous committees on maternal
22 health and dignified care through the prenatal

1 and postnatal periods.

2 Ms. Bonhomme has also testified in
3 front of Congress on the importance of family
4 support and education in newborn screening.

5 She completed her undergraduate
6 degree in psychology and following conducted in-
7 field assessments of educational systems, with a
8 focus on pre-K students and classrooms.

9 Afterwards, she went to the
10 community focused Genetic Alliance, where she
11 established, built, and sustained the Maternal
12 and Child Health Division.

13 She is a board member of the DC-
14 based, federally-qualified, Health Center,
15 Whitman Walker Health, which provides affirming
16 community-based care with a special focus on
17 LGBTQ and HIV care.

18 Marianna Raia is the Associate
19 Director of Programs at Expecting Health.
20 Marianna brings over 15 years of experience in
21 the genetics, health care, and biotechnology
22 industries.

1 As a genetic counselor, she has
2 dedicated her career to bringing forth awareness
3 of genetic knowledge, family advocacy, and
4 community education.

5 Driven by the mission to increase
6 access to genetic services, she has helped
7 innovate and deliver new models of patient care
8 through patient-centered education and telehealth
9 services.

10 Marianna is committed to helping
11 patients, providers, and the public understand
12 how genetic information can empower you to make
13 decisions about your health and the health of
14 your family.

15 She earned her Master of Genetic
16 Counseling degree from the University of Texas at
17 Houston Health Science Center.

18 I'll now turn things over to Natasha
19 Bonhomme.

20 NATASHA BONHOMME: Great. Thank you
21 so much for the introduction, and we are really
22 excited to be able to share with you some -- a

1 slice of the work that we've been doing over the
2 past four years under the Newborn Screening
3 Family Education Program. Next slide, please.

4 Of course, we want to thank our
5 funders, HRSA, for the opportunity to be able to
6 do this work and now to be able to share it with
7 all of you. Next slide, please.

8 So, our vision under this program is
9 really to create a landscape where all families
10 have equitable access to newborn screening
11 information. That has always been kind of our
12 North Star, if you will, and our mission is to
13 meet families where they are. We know what we
14 would like them to know and what we hope and wish
15 that they know about the newborn screening
16 system. But really, our starting-off point is to
17 see, okay, what is the experience families are
18 having during this time, whether it's that
19 prenatal time or right after having a baby, and
20 going through that newborn screening process,
21 sometimes unexpectedly, and to see what their
22 needs are there. Next slide, please.

1 I'm going to go through the
2 objectives and what was really laid out in the
3 initial guidance around this program so you all
4 get a sense of kind of the context that we're
5 working within.

6 So, what we were looking to do on
7 the front of family education is to increase from
8 20% or increase by 20% from a baseline the number
9 of parents and families trained and educated on
10 the newborn screening system annually. From a
11 lens of partnerships, it was to increase by 15%
12 the number of partnerships that we have to
13 increase awareness of newborn screening and to
14 facilitate dissemination of materials, both what
15 was already created, as well as what we created
16 under this program. When thinking about family
17 education and leadership, we looked to increase
18 by 20% the families and parents that were trained
19 and that were able to report an increase in
20 knowledge, skill, ability, and self-efficacy, and
21 I think that particularly that last word self-
22 efficacy, is really important. So, it wasn't

1 just about being able to come back and say I know
2 what newborn screening is, but really to say oh,
3 I know how to incorporate this within my child's
4 health care.

5 And then lastly, looking at
6 medically underserved families to increase by
7 10%, both the outreach to medically underserved
8 populations as well as to increase the number of
9 medically underserved individuals that were aware
10 of newborn screening.

11 I put this here, because sometimes
12 when people think of education, we think, oh it's
13 really hard to get the data or to know what we're
14 doing, but in part, because this was explicitly
15 laid out in our guidance, we really work to
16 structure the program and to be able to do
17 evaluation that really would catch that.

18 I will say as we are now about to
19 end the fourth year of this project, every year
20 we've been able to hit these benchmarks. Next
21 slide, please.

22 Our approach, really, to all of our

1 work that we do through Expecting Health, but
2 particularly in this program, is to connect,
3 convene, create, collaborate, collect, and
4 communicate, and not just to use all C words, but
5 that is a communications technique. We really
6 want to be able to show that it takes multiple
7 approaches at multiple levels to really be able
8 to move the needle when it comes to education and
9 communication, and to make sure that families are
10 hearing and learning and then being able to apply
11 what they have learned in to their child's health
12 care. Next slide, please.

13 So, at a high level to date, our
14 program has trained 234 families. We have
15 actively partnered with 25 organizations and
16 individual, and when we say those 25, that means
17 there is a written agreement around partnership,
18 meaning that they will disseminate information
19 for us, we will get feedback from them in terms
20 of what it looks like. These are very formal
21 partnerships. We have many more informal
22 partnerships through all of the other work that

1 we do, but wanting to give a bit of background on
2 that. We have 12 ambassadors, which hopefully at
3 a different date, we can share with you more
4 detail about our Ambassador Program, but 12
5 ambassadors in 10 different states that are
6 actively working with each other, learning about
7 newborn screening, and then going out to their
8 communities to share what they have learned. And
9 then also 3,000 -- we've reached 3,000 people in
10 medically underserved populations to see how they
11 are -- to make sure that they are aware of
12 newborn screening, and we'll get into those
13 specifics a little bit later on. Next slide,
14 please.

15 This really shows kind of all of the
16 different pieces that make up this particular
17 Newborn Screening Family Education Program.
18 Today, like I said, we are only talking about a
19 slice of that, which is that middle slice in
20 terms of the work that we've done around prenatal
21 education, as well as our work around social
22 media.

1 But again, all of these pillars
2 throughout all of this is our education and
3 training work and creating content, our family
4 leadership work, which is really helping to
5 connect families to opportunities where they can
6 be advocates around newborn screening is that we
7 focus on meeting those families where they are
8 and where they want to be able to make an impact
9 in the system. Next slide, please.

10 So, what have we seen? We've been
11 able to increase awareness and education
12 opportunities, but this has required multiple
13 strategies. As you can see, there's a lot that
14 we're doing to be able to reach families and to
15 get them engaged, and it takes all of it. There
16 isn't just one thing that it's like oh, it was
17 videos, okay, let's just do videos. It really
18 was the combination of all the work that we're
19 doing.

20 Really having both organizational
21 and individual partnerships was critical in terms
22 of meeting families where they are and to be able

1 to get messages out at different levels,
2 particularly at this time of pregnancy and those
3 first few years of parenthood that really people
4 are bombarded with information. It really takes
5 all of those different avenues.

6 And then also, really looking at
7 innovative ways to incorporate both online and
8 in-person strategies to get information out. I
9 think a lot of times people come to us and really
10 are just saying, what is the one thing that we
11 can do, and there isn't just one thing. It
12 really is about having these different avenues
13 and channels. Next slide.

14 And with that transition to this
15 slide, I will pass it on to Marianna.

16 MARIANNA RAIA: Good afternoon,
17 everyone, and thank you so much for the
18 opportunity, and thank you to the Committee for
19 giving me the chance to join you today and share
20 a little bit more about and expand on the work
21 that Natasha just laid out.

22 As you heard introduction, my

1 background is in genetic counseling, and I bring
2 that up specifically because I've spent a
3 significant amount of my time working with
4 prenatal populations, and that has proved to be
5 very helpful and that lens has been incredibly
6 helpful in the work that I will walk you through
7 next.

8 But before we jump into some of the
9 important data that I'd like to share, I
10 recognize and we recognize that this group is
11 incredibly familiar with the importance of
12 prenatal education and the critical time period
13 that that presents for families to learn about
14 newborn screening. But it is helpful to frame
15 that conversation with a few key pieces of data
16 that I did want to just kind of bring back up as
17 reference.

18 So, when we think about why we
19 should focus and really dedicate effort and
20 resources to prenatal education, we know from
21 literature and from work that we've done that
22 when a pregnant woman receives information from

1 her health care provider, that that is correlated
2 to increased satisfaction. The trust that a
3 woman or an expecting mom has with her health
4 care provider is a critical time period where
5 information can be shared.

6 We also hear directly from families
7 and a variety of work. Again, we've conducted
8 through discussion groups, as well as literature
9 that's available that states that parents really
10 do want to have this information prior to
11 delivery. Oftentimes, families are learning
12 about newborn screening after the screen has
13 happened, and if we can introduce this
14 information earlier, we know that that improves
15 the experience for families.

16 We also know that guidance is
17 available from professional organizations,
18 including the American College of Obstetrics and
19 Gynecology, that states prenatal education for
20 newborn screening should be happening.

21 But I also think everybody can
22 recognize that it's hard to provide this

1 education during pregnancy. OBs, prenatal
2 providers, and families are inundated and
3 overwhelmed with information at this point. So,
4 the reality is that many families are not
5 receiving this information during pregnancy.

6 Next slide, please.

7 So, what we set out to do was create
8 a pilot program that really implemented and
9 introduced an initiative to educate about newborn
10 screening during pregnancy, and there was a
11 significant amount of work in the development
12 phase that was conducted through, you know, with
13 input from the steering committee of this program
14 as well as working groups with the pilot sites
15 that we were collaborating with and through
16 several discussions and many efforts, we were
17 able to hone in on three specific goals for this
18 work.

19 The first really, and as Natasha
20 laid out, much of our work really is to increase
21 awareness of the process and importance of
22 newborn screening, specifically in medically

1 underserved populations. So, we know that
2 families from medically underserved populations
3 are less likely than other families to be
4 familiar with newborn screening. So, this and
5 everything that I will share with you really is
6 focused on improving that awareness and education
7 within medically underserved communities.

8 We also wanted to assess the
9 accuracy of knowledge in these communities and in
10 these participants regarding how, when, and why
11 newborn screening occurs. So, in just a moment,
12 I'll share with you how we evaluated that.

13 And lastly, but certainly not least,
14 there were a number of resources that we
15 developed in collaboration with families and
16 clinicians to conduct this pilot, and those
17 resources directed two additional resources that
18 many of you have contributed to over the years.

19 So, we really wanted this initiative
20 to become a way in which families had access to
21 additional information that they might need,
22 should they find themselves in a situation of an

1 out-of-range newborn screening result or needing
2 that information at a later time. Next slide,
3 please.

4 There were, as you can imagine, a
5 number of key strategies that we were very
6 intentional and strategic about implementing
7 through the development and implementation of
8 this work, and many of these resonate even beyond
9 these prenatal pilot efforts and the work that we
10 do under this program.

11 But first and foremost, as we -- as
12 we initiated conversations and partnerships and
13 collaborations with each of the communities that
14 we've worked with, our first questions were --
15 our first step was really to ask a lot of
16 questions of both the families and clinicians
17 working in those environments. It was very key
18 in the development phase that we were integrating
19 cultural perspectives, when we were developing
20 materials, that we were utilizing pictures and
21 language that would really resonate with the
22 families that were utilizing these tools and we

1 also knew that that would be incredibly important
2 in terms of leveraging trust with the health care
3 providers and partners that we were working with.

4 As I mentioned earlier, we know that
5 for expecting moms, in particular, those that are
6 receiving care are receiving care from
7 individuals that they -- that they reportedly
8 trust. And so, we wanted to really utilize those
9 sources, understand where those trusted health
10 care partners are, and work and identify them.

11 Another key piece to this work was
12 really thinking through. Again, we know that for
13 many prenatal providers, it is an incredibly busy
14 time. There is a lot of additional information
15 outside of newborn screening that has to get
16 covered in visits. And so, it was key to really
17 think about what are -- what are birthing centers
18 doing, what are prenatal providers doing, and
19 where are the places that we can incorporate into
20 workflows that are already in place so that we're
21 not introducing something completely new.

22 And this ties into -- the next

1 strategy that we really leverage ties into what
2 I've mentioned previously, but we really wanted
3 to use practical and relatable materials and so
4 you'll hear a little bit more about what that
5 truly means, but thinking about what are the
6 materials, what are the tools that we know
7 families already use. We know many families use
8 their smartphones to look up information, to
9 access information. We know that not all
10 families have access to smartphones, and if
11 that's not the case and someone's not in a
12 digital world, what are alternatives that we can
13 be considering?

14 So, we really spent a lot of time
15 thinking about that and again, as you've heard me
16 say now several times, trust is a key piece to
17 all of this, and really identifying and working
18 with groups where there was already a high level
19 of trust between families and the health care
20 providers that we were working with. Next
21 slide, please.

22 So, what exactly have we done to

1 initiate this prenatal effort? So, first and
2 foremost, we targeted and partnered with a number
3 of different community-based prenatal groups.
4 These are groups that were already working with
5 expecting moms, and that already had those
6 workflows in place, and I'll talk in just a
7 moment about the three pilots that we have either
8 completed or in the process of completing.

9 Next, we developed and implemented
10 an educational initiative, and really, what this
11 initiative included was a pre- and post-test
12 assessment that was 10 questions or less. It was
13 incredibly important to us to keep that very
14 concise, but also important to have an evaluation
15 process to try to measure the success of the tool
16 that we developed.

17 So, we asked participants to answer
18 a set of pre-test questions. Then we asked
19 individuals to read an informational booklet, and
20 we refer to it as a flipbook, that really
21 provided information about the importance of
22 newborn screening, when newborn screening occurs,

1 the potential results that an individual might
2 receive from newborn screening results, and also
3 access and, as I mentioned earlier, a list of
4 very helpful additional resources if a family
5 chose to pursue more information and then the
6 final step was again that post-test evaluation.

7 And we implemented this initiative
8 and we've completed pilots in two unique sites.

9 The first was a high-risk obstetrics
10 clinic in the Houston area. It was a primarily
11 Spanish-speaking population. Over 80% of
12 patients that are typically seen in that clinic
13 speak Spanish as their primary language. And
14 that was completed between June and August of
15 2021, and those are results that I will be
16 sharing with you today.

17 We just recently completed a very
18 similar pilot or a similar initiative, but in a
19 very different mechanism with a Plain community
20 in Indiana. So, this is primarily Amish and
21 Mennonite families that we were working with and,
22 as you can probably imagine, these communities

1 are highly different, and the way in which we
2 implemented this pilot was very different in both
3 of these communities.

4 In the Spanish-speaking Houston-
5 based community, most of the materials and the
6 way in which we introduced this were shared
7 digitally. So, patients were visiting their
8 provider during their third trimester, and at a
9 third trimester visit, while the patient was
10 waiting in their clinic room to be seen, the
11 staff shared an informational card with those
12 participants that gave them a very short
13 introduction to newborn screening, but provided a
14 QR code that they were able to access with their
15 smartphone while they were waiting.

16 That QR code directed them to the
17 pre-test set of questions, then it guided them to
18 read the book that was available, and then the
19 last step was to complete the post-test
20 questions. And I will share with you in just a
21 moment some of the results that we have available
22 from that pilot.

1 In the Plain community, this is not
2 a community that utilizes digital tools, and we
3 had to really partner and think through what
4 would work best for families that are being seen.
5 And so, what we did to implement this initiative
6 is partner through the Indiana Community Health
7 Clinic with midwives that were visiting families
8 in this community. So, again, while these
9 midwives were visiting in patient's homes or in
10 birthing centers, during the third trimester
11 visit, they would introduce the opportunity to
12 the individual patient to learn more and to read
13 more about newborn screening. Participants in
14 this pilot completed everything in paper. So,
15 the pre-and post-test questions were still asked.
16 Families received a paper questionnaire that they
17 were able to complete and share with our midwife,
18 and the book itself was available in a paper form
19 as well for families. So, we were really
20 thinking through, again, what would these
21 families need to truly meet them where they are.
22 And the last and final pilot, not

1 final, but the last site that we are currently
2 working with is a prenatal health clinic in the
3 Oklahoma area. We are very excited to extend
4 this work into tribal communities, and we are
5 just getting started and hoping to launch in the
6 near future with an OB clinic in Oklahoma.

7 So, all of this work really leads to
8 where we are at this point, and it's to think
9 about and incorporate this type of initiative or
10 this type of effort to become a repeatable model
11 that other prenatal centers can use. Next slide,
12 please.

13 So, I'm really excited to share with
14 you and, again, this is just a snippet, as
15 Natasha said, of some of the results that we have
16 been able to collect from this quality
17 improvement work.

18 In our Houston-based clinic, which
19 is the LBJ Hospital, we had a total of 56
20 participants over a course of 10 weeks that were
21 able to complete both the pre- and the post-test
22 questionnaire. And what you're seeing here on

1 this slide is an overwhelming increase in the
2 participants' ability to correctly identify
3 answers to knowledge-based questions.

4 So, the pre- and the post-test
5 questionnaires included five questions that were
6 focused on assessing the individual's knowledge.
7 There was one multiple choice question and four
8 true/false questions, and I'm showing you just a
9 snippet here.

10 So, one of the questions was --
11 asked participants to correctly identify the
12 definition of newborn screening, and they were
13 provided with four different options. You can
14 see that in the pre-test setting, only 18 of the
15 56 were able to correctly identify the definition
16 of newborn screening, and after reading through
17 the book, that number increased to 86%.

18 Similarly, participants were asked
19 to respond to a true/false question determining
20 or identifying that there are three parts to
21 newborn screening. Prior to, or in the pre-test,
22 only 44% of participants were able to correctly

1 answer that question, and after reading the
2 flipbook, 96% of participants were able to
3 correctly answer that question.

4 And then, lastly, we also tried to
5 hone in on knowledge and awareness around what an
6 abnormal result truly means for families or for
7 families to understand what a newborn, excuse me,
8 what an abnormal result means. Prior to reading
9 the book, only about 16% of participants could
10 identify that having an abnormal newborn
11 screening or an out-of-range newborn screening
12 result does not always mean that there is
13 something wrong with the baby. After reading the
14 book, that increased at 82%.

15 All of these changes were
16 statistically significant, and so I think it's
17 really clear evidence that in this -- and this
18 particular program, this book has been very
19 informative and has been successful in increasing
20 both awareness and knowledge.

21 We won't have time to go into
22 further detail today regarding the Amish and

1 Mennonite community, but I will share with you
2 all that the results are also statistically
3 significant. There is also a significant
4 increase in knowledge and awareness that we were
5 able to measure and I'd be happy to provide more
6 information or elaborate on that if anyone is
7 interested at a later time. Next slide, please.

8 Another aspect that we did try to
9 measure through our evaluation was individual
10 responses and perceptions. And so, we did also,
11 in our pre-and post-test questions ask
12 participants to respond regarding how they felt
13 in terms of their knowledge and their confidence,
14 and you can see here that, you know, almost 90%
15 across the board reported increases -- self-
16 reported increases in their awareness of newborn
17 screening, their knowledge of newborn screening,
18 and confidence of finding information or
19 discussing newborn screening with their doctors.

20 And so again, you know, this is an
21 example or a way in which really building that
22 awareness and building that confidence can be

1 done through these prenatal efforts. Next
2 slide, please.

3 So, what do we do with all of this
4 information that we've been able to gain from
5 this one site, and how can we think about
6 applying that on a broader scale and
7 implementing, you know, on a larger scale
8 prenatal initiatives like this.

9 So, again, you've heard me say this
10 but I -- one of the things that we have
11 definitely taken away from this work and that we
12 have heard from those that we have collaborated
13 with, what has this successful and what has made
14 this easier to do for some groups is integrating
15 it into existing workflows. So, whether it was
16 in a clinic or whether it's with midwives that
17 are visiting in patient homes and family homes, I
18 think understanding what is already working was
19 critical to then introducing this type of
20 workflow that, as you can see, is quite flexible
21 and when we were designing the tools, the tools
22 were really separate from the workflow. The idea

1 was that the tools, the book itself, the
2 questions really could be flexible to meet the
3 needs of the clinic and the families in the same
4 way.

5 And again, you know, we've leveraged
6 mechanisms of learning that families were already
7 used to. We already knew and we confirmed that,
8 even before we started this program in the
9 Houston population, that many of the patients
10 that were being seen there used their phones to
11 access other information that they were getting
12 from that clinic.

13 In our Indiana population, we also
14 know and understand that the way families prefer
15 to receive information was from their midwives
16 and in a paper form. And so, we really tried to
17 build off of and leverage what was working best
18 for these families.

19 I think an opportunity and that is
20 definitely worth mentioning is that this work
21 really does require consistency and I think we
22 had great participation in the communities that

1 we partnered with because there was somebody in
2 that community also helping us to make patients
3 aware of this opportunity, to advocate for this
4 opportunity, and to really help disseminate the
5 information in a way that both the clinics and
6 the families were interested in.

7 And what we have seen, and I think
8 is a really interesting opportunity from this
9 work, as we've had a number of additional
10 prenatal groups and newborn screening state
11 programs who have learned of this work and who
12 have reached out to us for additional information
13 and we are in either signed partnership or
14 working towards that with five different newborn
15 screening state programs at this time, because
16 there has been an explicit ask or interest in
17 partnering to think about how to best implement
18 prenatal education efforts for newborn screening.

19 What this also points to as well, we
20 can quantify in these pilot sites, the change in
21 awareness and in education. What we would like
22 to be able, and we're working towards, is look to

1 and think about how can we evaluate how education
2 during pregnancy impacts longer term outcomes and
3 newborn screening, we know that it raises
4 knowledge, we know that it raises awareness
5 during pregnancy, but can we start to correlate
6 how that might improve an experience for a family
7 at the time of delivery or after a newborn
8 screening result is received. Next slide,
9 please.

10 And in the last five minutes, I just
11 want to shift gears slightly to share with you a
12 different approach, but that does build off of
13 the work that we learned through this prenatal
14 initiative that we just talked about. So, you
15 know, knowing that one of our objectives is
16 really to think about providing education and
17 making sure individuals for medically underserved
18 communities have access to newborn screening
19 information, we started to ask ourselves, what
20 are the ways that we can reach a larger number of
21 individuals. And, as I know many of you are very
22 familiar with, social media is certainly a tool

1 that is available to access large numbers of
2 individuals, and we know that, in particular,
3 between the ages of 18 to 24, though, it extends
4 well beyond that age range. We know that social
5 media is a tool that people are using and whether
6 or not you feel it's a trusted source, we know
7 that families turn to social media for
8 information. And so, we really wanted to think
9 about how can we utilize the tool of social media
10 as a potential tool for newborn screening
11 education.

12 And we're currently in the process
13 of working through this right now. So, I'll
14 focus in with the two-phased approach. I'll
15 focus in today specifically on phase one, which
16 we completed last year, but just to help set
17 context.

18 In the first phase of this work, we
19 really wanted to ask the question, can we target
20 a specific audience, and can we reach that
21 audience? So, in phase one, we really wanted to
22 hone in on that. In phase two, which we're

1 currently in right now, we really wanted to ask
2 the question, if we are able to successfully
3 reach and target an audience, can we take it one
4 step further and ask that audience to engage with
5 a particular form of newborn screening education.
6 And if do, we wanted to start to understand to
7 what degree we can introduce education and have
8 families engage with newborn screening
9 information through social media. Next slide,
10 please.

11 So, in phase one, we ran a 30-day
12 social media campaign and, just to clarify, there
13 are lots of different campaigns that can be run
14 through social media. I am not a social media
15 expert, but these were campaigns, where we were
16 able to identify and set parameters based on
17 different demographics that are available through
18 Facebook algorithms. So, what we really honed in
19 on for our target audience, we utilized age,
20 reported gender, a set of zip codes that we
21 utilized from HRSA's medically -- a list of zip
22 codes that are identified through HRSA as

1 medically underserved communities, and an
2 estimated income. That is also something that
3 there are algorithms that Facebook has that can
4 collect that information.

5 So, based on those targeted
6 parameters, we were able to run a 30-day
7 campaign, where we shared and showed educational
8 information and ads about newborn screening to
9 this particular group of individuals, and our
10 goal was to measure of that group, could we reach
11 30% of that audience. And we were pleased to see
12 that we were successful in our ability to do
13 that. So, through that campaign we were able to
14 specifically reach almost 2 million individuals
15 who were, based on those parameters and that
16 criteria, for being in a medically underserved
17 community.

18 And, just to clarify, because these
19 terms can be very confusing with social media,
20 reach is a unique individual impression. It
21 could be multiple views by the same person. So,
22 you'll see a difference. We were able to reach

1 almost 2 million individuals through these ads
2 and there were over 3 point -- or there were 3.7
3 impressions of these ads during this 30-day
4 campaign.

5 There were over actually 5,000
6 clicks from the different ads that were used
7 during this campaign, and then click could be a
8 like, and it could be -- there were clicks that
9 were available within the ads themselves that
10 took participants or took individuals on Facebook
11 into an additional website where they could learn
12 more about newborn screening. But overall, we
13 felt that this initial phase was successful in
14 being able not only to target and define a
15 specific audience that we were trying to reach,
16 but that we were, in fact, successful in reaching
17 the audience that we had intended.

18 And over 67% of the individuals that
19 we were able to reach through this campaign were
20 between the ages of 18 to 44, which really did
21 hone in on our target audience of expecting moms.
22 Next slide, please.

1 And I should say really quickly, as
2 we go into phase two, what we are aiming to do in
3 phase two, and what we're in the process of doing
4 right now is it as an expanded version of this
5 social media campaign. So, we recently launched
6 a 6-month campaign where again, we are -- not
7 only are we reaching and using the same
8 parameters to reach our specific targeted
9 audience, and these are all individuals that have
10 expressed interest in pregnancy or who are
11 currently expecting, we plan to also share the
12 flipbook that I had mentioned earlier from our
13 prenatal efforts. So, the specific click that we
14 are encouraging through this campaign is for
15 individuals who see these ads to click a link
16 that takes them into the educational flipbook,
17 where we will now be able to look at and assess
18 how many individuals are reading that book, are
19 they reading it in its entirety, are there parts
20 of the book that are getting more attention than
21 others, and we'll be able to and look forward to
22 being able to learn from that as well.

1 So, what did we learn from all of
2 this. You know, I think we knew some of this
3 going in, but it's definitely been validated over
4 the last four years of this work. But really, to
5 do this and to increase access to newborn
6 screening education, as you've seen and heard, it
7 really does take multiple strategies to do that,
8 and we've been able, I think, to successfully
9 show that implementation can be done. It does
10 require buy-in from multiple stakeholders, and it
11 does require capacity at both the local and
12 national levels, but it can be done. I think
13 we're learning and seeing that the use of sort of
14 innovative strategies has really helped us reach
15 more families. And it doesn't have to be hard.
16 I think often times this work, it is hard, and I
17 certainly don't want to minimize that. But it
18 can -- it can be done, and I think there are
19 tools now in place to help others do the same.

20 And we've talked about this a lot,
21 but a big piece to the success here really is
22 partnership and trusted sources as key connectors

1 to families. Last slide, please.

2 So, that brings us to how -- how can
3 all of you get involved. Next slide, please.

4 And really, a partnership is key to
5 meeting families where they are and we've seen
6 that it's not just in this prenatal work and
7 reaching medically underserved communities, but
8 really in all of the work that we've been doing
9 throughout this program. So, again, we won't
10 have time to go through all of this information,
11 but I just wanted to share with you some of the
12 additional unique ways that we are working in
13 partnership and direct partnership beyond these
14 awareness campaigns to reach additional families
15 with newborn screening information, and we've
16 really had a lot of success in direct outreach
17 through doula groups and midwife groups. So,
18 we've been partnering with the state of
19 California to conduct some midwife focus groups
20 and to generate some training opportunities for
21 midwives in the state of California, which has
22 been successful. We've also partnered and

1 collaborated with undergraduate programs to
2 provide some information and training to
3 undergraduates who are in metabolic courses,
4 Natasha mentioned this earlier, and we look
5 forward to being able to share more with you, and
6 in a future date about our Ambassador and our
7 family leaders that have really been key and
8 integral to sharing information with other
9 families about the importance of newborn
10 screening.

11 So, with that, I will -- I think
12 that's the last slide. Thank you very much for,
13 again, allowing us the time to share these
14 updates with you and I'm happy to take any
15 questions.

16 CYNTHIA POWELL: Thanks very much
17 both of you for your presentations and sharing
18 this information with us. We're going to open it
19 up to questions from Committee members and
20 organizational representatives. Carla Cuthbert.

21 CARLA CUTHBERT: Thank you so much,
22 Natasha and Marianna, for your presentation. I

1 have a couple of questions for Marianna. So,
2 this -- these are a couple from those of us at
3 CDC. So, we were wondering, how you defined
4 newborn screening in the educational book that
5 you use and whether or not the book -- the
6 resource book that you're talking about, will
7 become available at some point. And just so that
8 I understand, you know, would you comment on
9 whether or not you had experiences with any of
10 the providers and if you could just share some of
11 the challenges that you might have had in
12 engaging with some of the OB-GYN prenatal
13 providers, since some of the states have had some
14 difficulty in the past trying to engage them. I
15 really would like to hear your thoughts.

16 MARIANNA RAI: Yeah. Thank you
17 very much for the question and I'm happy to take
18 that in a couple of different parts. So, the way
19 in which we define newborn screening was again,
20 specifically through -- well, we assess that
21 through a multiple choice question, but we define
22 newborn screening basically when it occurred and

1 provided information at a general level, because
2 this is a national tool, so described that as
3 between 24 and 48 hours after delivery. We
4 talked about the different results that could be
5 available including in-range and out-of-range
6 results, and then we also provided information to
7 readers that included what to do in those next
8 steps. So, talking to your provider, where to
9 go, and things of that of that type.

10 The book is absolutely available, if
11 anybody is interested. It is available in both
12 digital and paper forms. It's available on a
13 link, and I would be more than happy to share
14 that with anybody that's interested.

15 And then, I think, specifically
16 regarding prenatal providers and that is -- that
17 is something, again, even before this work, I
18 have worked in prenatal settings for a while and
19 have worked directly with OBs. It is a
20 challenge, and I think where we were successful
21 is this particular initiative doesn't require the
22 provider to provide the education. What it does

1 require is validation and input and the stress of
2 the importance of this information. But the
3 education itself is something that the individual
4 can do. And so, I think, for that reason, at
5 least in the areas that we were able to try this
6 out, the providers were very open to that. We
7 leveraged clinic staff who are already going into
8 rooms or midwives who are already visiting with
9 families. And so, it didn't introduce as much of
10 a potential burden as I think people might feel
11 it could.

12 CYNTHIA POWELL: Jennifer Kwon.

13 JENNIFER KWON: So, noticed the one
14 thing that you didn't say that might be a barrier
15 is the fact that in the real world setting, who's
16 going to pay for this and who's going to be doing
17 this? So, genetic counselor time in the prenatal
18 world is pretty tight already. I think this is a
19 great service and I'm glad that ACOG is
20 supportive and that you have this great data, but
21 the next step is to make it part of the real
22 world prenatal experience. And so, I'm really

1 curious how that's going to be.

2 You know, just in my own mind, I can
3 think that maybe making this link available and
4 trying to see if we can't sort of like, get the
5 word out that way, maybe there's a research
6 project there. Like in the real world, how does
7 this work?

8 I also work with the plain
9 population, and I think again, they do have a lot
10 of knowledge of newborn screening, mostly because
11 of the cost, right? If that's a cost that
12 they're going to have to bear, then they're
13 thinking about it, and you may find that they're
14 much more knowledgeable about the fact that this
15 exists than maybe other families are.

16 So, I want -- I think this is great.
17 I think it's just great that you have this data,
18 but that's really what I want to hear is how do
19 you see us getting this into the pipeline.

20 NATASHA BONHOMME: So, I'm happy to
21 chime in on the funding piece of that. Of
22 course, as a program, we're always thinking about

1 sustainability and what comes next. But, just
2 like most of public health education is funded
3 throughout this country, it goes back to federal
4 and state agencies. And so, think newborn
5 screening would fit right into that as well.

6 I think that when we see a vacuum of
7 that type of education support, like we have seen
8 in the prenatal setting, companies fill that
9 space, and I know that's a whole other
10 conversation, right? But I think we can follow
11 some of the other really great examples and
12 models in other public health education,
13 particularly that have come from, you know, HRSA,
14 CDC, you know, HRSA's Maternal and Child Health
15 Bureau. I mean, there are great examples of
16 long-term public health education support and
17 validation of that. So, I think that, to me,
18 that's where I would see a lot of that coming
19 from, as well as then when looking at programs
20 and thinking of all the other pieces of the
21 newborn screening system that have to be
22 implemented and supported, education should never

1 be a tack on to that, it should be part of that.

2 So, I look at it kind of globally and

3 holistically in that way.

4 MARIANNA RAI: Could I just add one

5 additional thing? Jennifer, it's a great

6 question and I think we are -- we are asking

7 ourselves the same question, what does this

8 really look like to scale and to make it

9 available to more. I think where we're really

10 excited is that in partnership with state

11 programs, as I mentioned earlier, states have

12 reached out with interest of what does it look

13 like to implement this at the state level.

14 So, I think we're just starting to

15 ask that question and to really evaluate what

16 that really looks like. But, to me, that would

17 be the next step is to truly make this something

18 that, at the state level, is something that could

19 be implemented.

20 JENNIFER KWON: No, those are great

21 thoughts, and I think if you have states that are

22 implementing them, so sharing those success

1 stories would be very helpful for other states,
2 but I think this is a great project. Thank you.

3 CYNTHIA POWELL: Scott Shone.

4 SCOTT SHONE: So, I actually raised
5 my hand a little go to talk about the state's
6 piece of it. So, I think -- so, Marianna, I'm
7 glad the conversation has led there.

8 So, it sounds -- but it sounds like
9 you're just sort of at the beginning of that
10 work, and there's not really things to talk about
11 yet. I mean, if you can share anything, that'd
12 be great. Otherwise, I would encourage the
13 future Committee to have you back to talk about
14 it because I agree with Jennifer. Like these are
15 best impacts, but again, if there's no answer on
16 what's being done, I guess, I would ask you more
17 philosophically.

18 We have often heard from our
19 partners in the advocacy community that they feel
20 they have to go state to state to make changes
21 and newborn screening. Is that how education is
22 now headed as well, that sort of the efforts at

1 the national level with sort of the national
2 groups, ACOG, AAP, are not getting traction and
3 that we have to take it more local, that newborn
4 screening is truly local state based whether it's
5 actual tests, determinations of panels, but also
6 how we educate and engage in with our partners?

7 MARIANNA RAI: Natasha, feel free
8 to jump in too. I think it takes both. My
9 personal opinion is that I think it takes both.
10 I think it takes a national approach in terms of
11 what information is being shared and how to do
12 that and that it can be done. But it does take
13 local effort, and I wouldn't even say just state,
14 I think it truly takes effort at the local level
15 as well. So, as much as I wish that it were a
16 blanket thing across, you saw today that it takes
17 multiple strategies, and some of those are at the
18 local level.

19 NATASHA BONHOMME: Yeah. The only
20 thing I would add to that is that it takes that
21 really deep investment as well in terms of at
22 each level, right? I think we've done a lot as a

1 community at the national level and it doesn't
2 mean that everything has to be replicated, right?
3 We don't want to say that every state has to
4 create educational materials, every -- that's the
5 point of these projects is to show models and to
6 see what works and to not necessarily have all
7 the focus beyond what exact words do we need to
8 say, you know. We know what we need to say.
9 It's newborn does x, y, z, newborn screening
10 includes, you know, blood spot, heel stick --
11 blood spot, hearing, and pulse ox. You know, all
12 of that type of information. It's there. It's
13 really, how do we invest in those different
14 models, again, at the state and local level, and
15 we've been able to do that, as we shared a little
16 bit with, with a number of different states and
17 still are setting up some different programs
18 because each state, as we've said for every other
19 part of newborn screening, every state is
20 different. Education would be the same on that
21 front.

22 And so again, we are very much at

1 the beginning phases of that, and we really
2 appreciate the states that have come to us and
3 are looking for ways to partner, and for us to be
4 able to be creative in that. And I think, you
5 know, very soon we'll be able to say okay, these
6 are the different models that we were seeing
7 getting a lot of traction both through
8 partnerships with state newborn screening
9 programs, as well as on the clinical side of
10 things.

11 SCOTT SHONE: I think, Natasha, it
12 would be important not to just stress the
13 materials, right?

14 NATASHA BONHOMME: Right.

15 SCOTT SHONE: And I think but like
16 the structure, like is there a champion or
17 champions, and how, like, what are the roles that
18 we think of, because we can think of roles in
19 follow-up and we can think of roles in the lab,
20 but these educational roles that we might need to
21 be developing or learn from other public health
22 endeavors that need to be brought more routinely

1 the newborn screening.

2 NATASHA BONHOMME: Yeah, absolutely.
3 And we didn't mention this, but in the first year
4 of this program, we did a pretty extensive needs
5 assessment and that didn't really focus on the
6 content side of things, because we know that. We
7 know what the content is, but really more so in
8 the channels. So, how is this information
9 presented, where does it need to be presented,
10 and so, I completely agree with you that that is
11 really the focus. It's the how, not necessarily
12 the what.

13 CYNTHIA POWELL: Melissa Parisi.

14 MELISSA PARISI: Thank you. This is
15 Melissa Parisi from NIH, and I just want to say
16 that I really appreciated your presentation and I
17 think that this is a really novel and important
18 campaign. I have one sort of technical question
19 and then one more philosophical question.

20 My technical question is one given
21 that you were doing some pre- and post-test
22 evaluation, did you end up administering this

1 under the auspices of an informed consent
2 process? Was there -- were there any barriers in
3 terms of your initial pilots, recognizing you
4 probably had to have some champions among the
5 practices that you approached initially, but
6 wondering if you see that as a potential barrier
7 in the future for this type of activity and being
8 able to, you know, have buy in from the various
9 practitioners that you wanted to apply the survey
10 and the educational materials in the post-survey
11 too. So, I'll stop there and let you answer that
12 one first.

13 MARIANNA RAI: Yeah. I'm happy to
14 take that. It's a great question and one, yes,
15 we gave a lot of thought to. So, in our initial
16 pilot site, which was part of the University of
17 Texas Health care System, it did undergo an IRB
18 review process. We submitted it as a quality
19 improvement project and it was approved as a
20 quality improvement project, and we did not, by
21 design, collect specific demographic information
22 to reduce any potential barrier of, you know, of

1 participation or approval or things like that.
2 So, when we've been able to replicate this in
3 other places, we have not had to utilize the IRB
4 process or a consent process because it's been
5 integrated into the clinics care as quality
6 improvement.

7 MELISSA PARISI: Great. Thank you.
8 That's helpful information and probably
9 alleviates some of those potential barriers.

10 So, my philosophical question is one
11 around social media and the fact that, you know,
12 as we know, social media can be both beneficial
13 and have some negative repercussions. So, I'm
14 just wondering if, in your campaign, if there was
15 any attempt to counteract some of the negative
16 messaging around newborn screening or some of the
17 -- some of the challenging situations that have
18 arisen by certain groups that feel that there are
19 potential downsides to newborn screening or if
20 that was not even a part of the equation, or you
21 were just focusing on the positive messaging and
22 the informational content of what you were trying

1 to promulgate.

2 MARIANNA RAIA: Great. It's also a
3 great question. I will say for the 30-day
4 campaign that ads themselves were, by design,
5 quite simple. It was intended to generate
6 interest and that was it. And so, all we were
7 really measuring was can we -- can we take this
8 message and get it somewhere. So, there was not
9 a lot of negative response or anything like that,
10 and it's too early to tell within the 6-month
11 campaign if that will be an issue. But thus far,
12 it has not been. I absolutely see the potential
13 for that and it's a great question, one that we
14 really need to think more about and haven't had
15 to encounter at this point.

16 MELISSA PARISI: That's very
17 positive. Glad to hear. Sorry, go ahead.

18 NATASHA BONHOMME: No, I was just
19 going to say, the only thing that I would add to
20 that is fortunately, or unfortunately, especially
21 when it comes to any social media platform, the
22 best and almost only way to combat information is

1 to flood the market with more information and
2 more of your messages, and so that is something
3 to think about as we think again sustainability
4 for this program, what does it really take,
5 right? And we know that other campaigns get
6 millions and millions of dollars to really flood
7 their market or their audience with particular
8 messages. But I think that's something, like
9 Marianna said, at the end of the six months,
10 we'll really be able to look at more deeply and I
11 appreciate that question. That hasn't been
12 something we've dug deep on and we'll definitely
13 add that into our work.

14 MELISSA PARISI: Thank you.

15 CYNTHIA POWELL: Debra Freedenberg.

16 DEBRA FREEDENBERG: Hi. So, I want
17 to say thank you for this work. We are one of
18 the states where, you know, LBJ is located, and I
19 can tell you that as a state, we've made multiple
20 attempts to reach the prenatal education aspects
21 of this and invested quite a bit, and we really
22 were not successful in reaching those target

1 families or the OB/GYNs. So, it's a great
2 approach and hopefully, it can be more
3 generalizable.

4 And also, the other issue that you
5 also brought up is the retention of the
6 information six months later, whether that's
7 going to still be -- the families will retain
8 that information or whether it's going to be kind
9 of like a flash and then gone.

10 So, I just wanted to thank you for
11 the work that you've done and, as you know, we
12 helped facilitate it in the beginning. But you
13 guys really ran with the ball and so, thank you
14 for doing the work on this.

15 CYNTHIA POWELL: Cate Walsh Vockley.

16 CATE WALSH VOCKLEY: Hi. Cate Walsh
17 Vockley, org rep from the National Society of
18 Genetic Counselors. Marianna and Natasha, thank
19 you so much for this work. It's really wonderful
20 to see the program.

21 I am wondering, just a couple of quick
22 questions, whether or not you have or had any

1 plans to reach out to the prenatal special
2 interest group at NSGC to try and facilitate some
3 work that Dr. Kwon alluded to the time
4 constraints in that population in terms of
5 providing services and how much they already need
6 to provide patients. But it seems to me that if
7 it's a sort of freestanding educational system,
8 they might be able to help facilitate and
9 implement it.

10 The other question I have is, I'm
11 curious, I also work with the Plain community, I
12 think there are a lot of us who do, whether or
13 not you had resistance from some of the lay
14 midwives in that population and to piggyback on
15 that, whether or not you're familiar with the
16 Plain Community Health Consortium, because I
17 think it would be a great place to expand the
18 program and I'd be happy to talk offline about
19 that organization and what we might be able to do
20 to help. So, thanks.

21 MARIANNA RAI: Thanks, Cate.

22 Natasha, I'm happy to take that one. So, to

1 answer your first question regarding NSGC and
2 special interest groups. Yes, we have shared and
3 again, this is a snapshot of resources that are
4 available through this program. So, we have a
5 tool kit that summarizes and encompasses all of
6 our additional resources as well and I have
7 reached out to the prenatal SIGs as well as the
8 metabolic SIGs. So, we would love any
9 partnership and collaboration to get these
10 materials shared and if there's any interest in a
11 more formalized pilot program as well, we would
12 love to discuss.

13 Regarding the midwife resistance, I
14 think we personally have not experienced that.
15 However, I think that is because we are, to Dr.
16 Shone's point, working with a champion in that
17 community that is already connected to the
18 midwife groups and birthing centers and a number
19 of different -- and has done that work for some
20 time, so back to our strategy of working with
21 trusted partners that are already part of that
22 community. That was integral to doing this work

1 successfully there. They have since reached out
2 for additional information and there are
3 additional midwives that would like to begin to
4 utilize these tools in their practice and in
5 their care. So, at this point, it has not -- we
6 have not encountered that.

7 CATE WALSH VOCKLEY: I'm in the
8 metabolic SIG. So, I'll put in a good word.

9 MARIANNA RAIA: Thank you very much.

10 CATE WALSH VOCKLEY: And I certainly
11 would be interested in talking more offline. So,
12 I'll be in touch.

13 CYNTHIA POWELL: Robert Ostrander.

14 ROBERT OSTRANDER: Hi. Robert
15 Ostrander, AAFP. I have two thoughts, comments,
16 one in terms of implementation. I'm not
17 delivering babies anymore, but obstetrical care
18 is very programmatic and structured and I think
19 one of the keys or tricks to getting this
20 implemented is through ACOG and AAFP and others
21 that do training and the training programs to
22 kind of have a link to the educational materials

1 for newborn screening on a little flowchart of
2 what you do at your 32-week visit or whatnot
3 because it's again, obstetric care in general is
4 very much that way. At each visit or certain
5 visits, there are certain things that happen.
6 Your glucose tolerance test, your initial
7 ultrasound, and if somehow that can get
8 incorporated, it doesn't mean that the provider
9 has to do. I think this is wonderful. I mean,
10 because, you know, you're providing -- you're
11 providing the materials. It's just a matter of
12 if you can get it onto the flow sheet, you know,
13 where they link it -- where that's an automatic
14 thing, they link the patient to it, I think it'll
15 happen. I've always been a big advocate for any
16 of these changes to be sold heavily to training
17 programs because even if you only get, you know,
18 a small uptake in the training programs, once
19 people are out, they're the young new docs in
20 their practices and organizations and you tend to
21 get a lot of spread, you know, from innovation to
22 early adopters to being generally adopted. So,

1 that's my comment about implementation. Try to -
2 - try to do everything we can to make it just an
3 automatic part of something that happens at a
4 certain visit during prenatal care.

5 My second comment is about Plain
6 people. I have a large Mennonite population
7 here. I'm in upstate New York and actually
8 they're very aware of things just through the
9 community and those of us providing general
10 primary care to the Plain population. We've been
11 able to promote and give them information about
12 not only prenatal information about newborn
13 screening but preconceptual. Probably you all
14 know that the Strasburg Clinic -- The Clinic for
15 Special Children in Strasburg that Holmes Morton
16 started and Kevin Strauss is now running, has
17 gotten a grant, I think, to offer they call the
18 Plain Insight Panel, which does carrier testing
19 for a number of the variants common to the Plain
20 population, and there's been a pretty big
21 interest actually in my patients in having that
22 done usually, with a woman, once a marriage is in

1 place, and then, you know, secondary testing of
2 the husband for any variants that have been
3 found. So, again, I think the Plain population
4 is particularly amenable to this. And as someone
5 else mentioned, I think, in some ways, you know,
6 maybe ahead of the curve compared to my other
7 patients.

8 CYNTHIA POWELL: One thing I was
9 wondering is, you know, we've talked before in
10 the Committee and work was done and looking at
11 the whole evidence review process, the nomination
12 forms, things like that, is the values piece as
13 we consider, you know, adding new conditions.
14 And one problem has been that, you know, there's
15 often not a group of diversified members of the
16 public who are aware enough about newborn
17 screening knowledgeable enough to, you know, get
18 them up to speed during the nine months that the
19 Evidence-Based review is taking place. Do you
20 think that, you know, there might be some core of
21 individuals identified through your work who, you
22 know, might be available for something like this,

1 you know, to advise the Committee about how they
2 think about possibly adding new conditions?

3 MARIANNA RAI: Do you want to
4 answer Natasha or do you want me to?

5 NATASHA BONHOMME: I think we'd have
6 the same answer. I think, yes, you know, our
7 Ambassador Program, in particular, has a range of
8 different people who are interested in newborn
9 screening for a range of different reasons. You
10 know, many of them already have their condition
11 on the panel and they really are thinking of
12 things in terms of more systems-based and just
13 kind of are in some ways more connected to the
14 public and what does the public think. I'm not
15 saying that they don't also wear a hat thinking
16 about condition-specific issues, but I think
17 that's one thing that's particularly special
18 about our Ambassador Program. It really is about
19 the whole entire system and really thinking about
20 that. So, I think there may be some connections
21 there that could be really helpful, especially
22 since they've gone through a program that, like I

1 said, is focused on a broader system and thinking
2 about what happens within a state program but
3 also beyond that in terms of that clinical care,
4 the follow up, really what does this mean for our
5 family after the test and after a diagnosis, and
6 all the different components that may go into
7 that. So, I think that would be great, and I
8 don't know, Marianna, if you want to add anything
9 to that.

10 MARIANNA RAI: Yeah. No, exactly
11 what I was going to say, and also to say that
12 some of our ambassadors have joined this call and
13 shared public comments and things of that nature
14 already. So, you know, I think I agree with
15 everything Natasha said, yes.

16 CYNTHIA POWELL: Thank you.

17 NATASHA BONHOMME: Well, I will also
18 say, I mean, as we're thinking about the public,
19 I think sometimes we put all families into one
20 bucket, you know, the public, advocates, patient
21 advocacy groups, rare disease, here's all in on
22 and just like anything else, you can't paint with

1 just one brush, right? There are different
2 concerns, there are different motivators, and I
3 think, as long as we're thinking about the
4 diversity within those groups and what do we
5 really mean when we say we want family input or
6 we want public input and to parse that out and to
7 realize just like there's no one clinician who
8 can speak to all things newborn screening,
9 there's probably no one parent that can speak to
10 all things, but really taking all of those trends
11 and putting them together. So, that's the only
12 thing I would add to that as you're thinking
13 about how to get that public, parent, family
14 perspective involved at that level.

15 CYNTHIA POWELL: Right. Well, thank
16 you both again for your presentations. This is
17 extremely important and interesting work and we
18 wish you all the best and hope to hear more in
19 the future.

20 **NEW BUSINESS**

21 CYNTHIA POWELL: So, now we'll move
22 on to time for new business. Do Committee

1 members have any new business or announcements
2 they'd like to make? All right.

3 Well, the next Advisory Committee
4 meeting will take place on August 30th and 31st
5 of 2022. HRSA is very excited that we plan to
6 have the August meeting in person. If there are
7 any situational changes where we would have to
8 shift our plans, we will make announcements on
9 the Committee's website. Also, you can find a
10 full list of meeting dates through 2025 on the
11 website and the May meeting of the Advisory
12 Committee on Heritable Disorders in Newborns and
13 Children is now adjourned. Bye, everyone.

14

15 [Whereupon the meeting was adjourned.]