

1  
2           **SECRETARY'S ADVISORY COMMITTEE ON HERITABLE**  
3           **DISORDERS IN NEWBORNS AND CHILDREN**

4                                   - - -

5  
6                                   Thursday, January 27, 2011  
7                                   Renaissance Dupont Circle Hotel  
8                                   1143 New Hampshire Avenue, N.W.  
9                                   Washington, D.C.

10                                   **MORNING SESSION**

11           The meeting was convened at 10:33 a.m., R. RODNEY HOWELL,  
12           M.D., Chairperson, presiding.

13  
14  
15  
16  
17  
18  
19  
20  
21  
22

1 PARTICIPANTS:

2

3 MEMBERS PRESENT:

4 RODNEY HOWELL, M.D., Chairperson, presiding

5 JOSEPH A. BOCCHINI, JR., M.D.

6 TRACY L. TROTTER, M.D., F.A.A.P.

7 GERALD VOCKLEY, M.D., Ph.D.

8

9 MEMBERS PARTICIPATING ELECTRONICALLY:

10 JEFFREY BOTKIN, M.D., M.P.H.

11 REBECCA H. BUCKLEY, M.D.

12 BRUCE NEDROW CALONGE, M.D., M.P.H.

13

14 EX OFFICIO MEMBERS PRESENT:

15 COLEEN BOYLE, Ph.D., M.S. DENISE DOUGHERTY, Ph.D.

16 ALAN E. GUTTMACHER, M.D. KELLIE B. KELM, Ph.D.

17

18

19 EXECUTIVE SECRETARY: MICHELE A. LLOYD-PURYEAR, M.D., Ph.D.

20

21

22

1 ORGANIZATION REPRESENTATIVES:

2 American College of Medical Genetics:

3 MICHAEL S. WATSON, Ph.D., FACMG

4 Association of Public Health Laboratories:

5 JANE GETCHELL, Dr.PH.

6 Association of State and Territorial Health Officials:

7 CHRISTOPHER KUS, M.D., M.P.H.

8 March of Dimes:

9 ALAN R. FLEISCHMAN, M.D.

10

11 PARTICIPATING ELECTRONICALLY:

12 American Academy of Family Physicians:

13 FREDERICK M. CHEN, M.D., MPH, FAAFP

14 American Academy of Pediatrics:

15 TIMOTHY A. GELESKE, M.D., FAAP

16 American College of Obstetricians and Gynecologists:

17 WILLIAM A. HOGGE, M.D.

18 Department of Defense:

19 THERESA HART, M.D.

20 Society for Inherited Metabolic Disorders:

21 BARBARA K. BURTON, M.D.

22

## 1 P R O C E E D I N G S

2 (10:33 a.m.)

## 3 COMMITTEE BUSINESS

4 CHAIRPERSON HOWELL: Ladies and gentlemen,  
5 let's find your seats. Those who continue to talk will  
6 be put out into the snow. That is a promise and a  
7 threat.

8 (Laughter.)

9 Let me welcome everyone to the 23rd meeting of  
10 the Secretary's Advisory Committee on Heritable  
11 Disorders. I'm thrilled to see all these folks that  
12 have braved the incredible D.C. weather this morning.  
13 We have a great attendance here at the table of our  
14 members. We also have a considerable number of persons  
15 on the phone. I think before we begin I would like to  
16 see -- we'll ask Michele to do a roll call of the  
17 persons who are on the phone. Michele?

18 DR. LLOYD-PURYEAR: I'm doing this  
19 alphabetically. Jeff Botkin.

20 DR. BOTKIN: Present.

21 DR. LLOYD-PURYEAR: Rebecca Buckley.

22 DR. BUCKLEY: Present.

1 DR. LLOYD-PURYEAR: Ned Calonge.

2 DR. CALONGE: Here.

3 DR. LLOYD-PURYEAR: Mike Skeels.

4 (No response.)

5 DR. LLOYD-PURYEAR: So he doesn't get paid.

6 Then I'm going to go to the organizational

7 representatives. Fred Chen.

8 DR. CHEN: I'm here.

9 DR. LLOYD-PURYEAR: Tim Geleske.

10 DR. GELESKE: Yes, I'm here.

11 DR. LLOYD-PURYEAR: Mike Watson.

12 (No response.)

13 DR. LLOYD-PURYEAR: He probably never got

14 home.

15 Chris Kus.

16 DR. KUS: I'm right here.

17 (Laughter.)

18 DR. LLOYD-PURYEAR: And then DOD, Theresa Hart

19 or Mary Willis, one or the other, okay.

20 (No response.)

21 DR. LLOYD-PURYEAR: William Hogge.

22 DR. HOGGE: Here.

1 DR. LLOYD-PURYEAR: Hi.

2 DR. HOGGE: Hi, Michele.

3 DR. LLOYD-PURYEAR: Sharon Terry.

4 (No response.)

5 DR. LLOYD-PURYEAR: Barbara Burton.

6 DR. BURTON: I'm here.

7 DR. LLOYD-PURYEAR: Oh, good.

8 DR. HART: This is Theresa. I'm here.

9 DR. LLOYD-PURYEAR: Oh; we called you.

10 CHAIRPERSON HOWELL: We have excellent

11 representation on site and so forth. I might add that

12 Dr. Bhutani and Dr. Johnson will be joining us by

13 telephone today.

14 DR. BHUTANI: I'm here.

15 CHAIRPERSON HOWELL: Oh, good. Well, we will

16 be looking forward to hearing from you during the

17 discussion for hyperbilirubinemia, which we will begin

18 at about 11:00 o'clock.

19 We also are expecting Ms. Diane Zuk and Dr.

20 Matthew Park to join us tomorrow for the committee

21 discussion on screening for critical cyanotic congenital

22 heart disease.

1           Ms. Harris has some housekeeping notes.

2           Alaina.

3           MS. HARRIS: Hello, everyone. Just a few  
4 housekeeping notes. When exiting our general session,  
5 the restrooms are down the hall to the left. The  
6 Altarum staff is Maureen and Rebecca. They are at the  
7 registration desk and can direct and assist attendees  
8 and answer any questions that may arise.

9           Please note that we are not able to provide  
10 wireless access in the meeting room, but the hotel does  
11 offer complimentary wireless in the hotel lobby, and I  
12 had heard rumors that you might be able to actually  
13 access that down here as well.

14           Continental breakfast and lunch is for  
15 committee members, presenters, and speakers, and that is  
16 in the Potomac Room. That's this level. If you go out  
17 and go right all the way to the end and then go to the  
18 right, we're in a room, and there's more food in there  
19 than what's available in the hallway. So you're going  
20 to want the good room.

21           For the committee members, organizational  
22 reps, and the speakers, we do have a dinner reservation

1       tonight. We're going to go to West End Bistro again.  
2       So if you would like to join us for that, please check  
3       in with Maureen and Rebecca and sign up for that so they  
4       can confirm our reservations. If you could do that  
5       before lunch, that would be great.

6               We are going to meet in the hotel lobby at  
7       6:15 and walk over. So our reservations will be for  
8       6:30.

9               Just a reminder for everybody: The  
10       subcommittee meetings are going to be this afternoon  
11       from 2:00 to 5:00. They are all on this floor. The  
12       Follow-Up and Treatment group is going to take this  
13       room. Laboratory Standards and Procedures will be out  
14       of the room and to the left in City Center Room No. 1;  
15       and Education and Training Subcommittee will be in City  
16       Center Room No. 2, which is also out here to the left.

17              Also, our HRT Work Group will meet today from  
18       5:15 to 6:00 o'clock. They are going to be in City  
19       Center Room 2 as well, which is the room that's being  
20       used by the Education and Training Subcommittee. Just  
21       for everyone to know, that meeting is open to the  
22       public, as are all our subcommittee meetings this



1 afternoon.

2 If any of the presenters have changed their  
3 presentations after you submitted them to Altarum,  
4 please save the revised copy of your presentation to the  
5 laptop up here.

6 Finally, for committee members and  
7 organizational reps, you should have received a thumb  
8 drive that has a supplement to your briefing book  
9 materials. However, that also went out to you last  
10 night in your email, so under that password-protected  
11 site that information is there, too. But I see  
12 everybody is shaking their heads "No," so in the next  
13 hour you will get a thumb drive from Altarum with your  
14 supplement to the briefing book.

15 Thank you.

16 APPROVAL OF MINUTES FROM

17 THE SEPTEMBER 2010 MEETING

18 CHAIRPERSON HOWELL: Thank you very much,  
19 Alaina.

20 The first order of business that we need to  
21 deal with is approval of the minutes from the September  
22 2010 meeting.

1 DR. LLOYD-PURYEAR: Excuse me. Who just  
2 joined?

3 DR. CHEN: It's Dr. Chen. I was cut off and I  
4 just called back in.

5 DR. LLOYD-PURYEAR: Okay, thank you.

6 CHAIRPERSON HOWELL: Are there any objections  
7 or changes to the minutes of the September the 10th  
8 meeting?

9 DR. BOCCHINI: So moved.

10 CHAIRPERSON HOWELL: Joe is motioning and  
11 Tracy is seconding that. Those favoring that, raise  
12 your hand.

13 DR. BOCCHINI: Or say aye.

14 CHAIRPERSON HOWELL: Or say aye. Or you can  
15 raise your hands. That'll be good, too, but say aye  
16 also.

17 (Show of hands.)

18 We actually are looking at you. You didn't  
19 know that. But anyway, be that as it may, there seems  
20 to be consensus on that issue.

21 **COMMITTEE CORRESPONDENCE**

22 CHAIRPERSON HOWELL: There's a lot of

1 committee correspondence in your book. Let me -- the  
2 tab includes responses from the Secretary, letters to  
3 the Secretary, as well as other correspondence. I'd  
4 like to particularly have you look at the note from the  
5 Secretary dated September 23rd regarding our health care  
6 reforms. She recognized the need to align the efforts  
7 that we're talking about with the outcomes of the  
8 vulnerable populations and newborns and children, and  
9 she adopted the first three of our recommendations.  
10 Obviously, our recommendations will have to be dealt  
11 with as the health care program evolves, which is  
12 obviously, as those who are in Washington know, is a  
13 major source of discussion down the street under the  
14 dome.

15           The Secretary provided her response to the  
16 fourth recommendation in her letter concerning medical  
17 food dated December 14. In this response, she  
18 acknowledged the value of the information we provided to  
19 help inform the Department's ultimate decision on health  
20 benefits. As the letter states, the Secretary has the  
21 results -- until she has the results from the Department  
22 of Labor survey and the Institute of Medicine, she will

1 not make a determination about these particular  
2 benefits. She, however, has assured the committee that  
3 when she is able to, she will give serious  
4 consideration.

5 The other letters include her interim  
6 responses -- as you know, the Secretary is required to  
7 respond to this committee in no less, no fewer than 180  
8 days after she gets correspondence. So some of the  
9 responses have been interim. There is an interim letter  
10 about the letter of emergency preparedness, as well as  
11 the residual blood spot documents, congenital cyanotic  
12 and congenital heart disease, and sickle cell disease  
13 testing.

14 Your briefing book also contains a letter from  
15 our committee to the Secretary, sent after the last  
16 meeting. The committee letters that we've sent to the  
17 Secretary since our meeting was: One about the  
18 retention and use of residual blood spots. It was sent  
19 on October the 13th. We also sent a letter to the  
20 Secretary about critical congenital cyanotic heart  
21 disease, that was sent on the 15th of October, and we  
22 also sent a letter to the Secretary about the revisions

1 to the sickle cell trait and disease screening, the NCAA  
2 athlete, that was sent on October the 11th. So we sent  
3 actually three letters within a period of several days  
4 to the Secretary.

5 Your thumb drive also contains files that  
6 supplement your briefing book. That includes the  
7 committee's response letter providing comments on the  
8 CLIAC report and the recommendations on the biochemical  
9 laboratory practices for genetic testing and newborn  
10 screening, and the responses from Doctors Frieden,  
11 Hamburg, and Berwick concerning committee  
12 recommendations. I don't think the committee has gotten  
13 a letter with three original signatures from such  
14 luminaries.

15 But, Coleen, can you comment about when the  
16 MMRW paper will be shared with the committee? Do you  
17 have that information?

18 DR. BOYCE: No, I don't. I apologize. I can  
19 find out for you.

20 CHAIRPERSON HOWELL: That will be helpful.  
21 That's referred to in the letter from the three folks  
22 that I listed.

1 DR. LLOYD-PURYEAR: Actually, the letter says  
2 it's going to be shared with HRSA, who will share it  
3 with the committee.

4 CHAIRPERSON HOWELL: Your briefing book does  
5 contain a response from the National Quality Forum dated  
6 November 29th, and Dr. Sara Copeland will be referencing  
7 this letter in the next session, which will provide the  
8 committee with an update on the National Quality Forum  
9 measures.

10 Sara, can you bring us the update on the  
11 National Quality Forum? You're on.

12 UPDATE ON NQF MEASURES,

13 SARA COPELAND, M.D.

14 DR. COPELAND: If you're ready for me. Good  
15 morning. Am I on?

16 (Slide.)

17 CHAIRPERSON HOWELL: Yes.

18 DR. COPELAND: Okay, good.

19 For those of you who don't know me, I'm Sara  
20 Copeland. I am a medical officer in the Genetic  
21 Services Branch.

22 At the last meeting, Alan Zuckerman presented

1 a little bit on the measures that have been submitted to  
2 the National Quality Forum and I'm just going to update  
3 you on where those have gone since then.

4 (Slide.)

5 So just to give you some idea, the National  
6 Quality Forum consensus process is where they call for  
7 the intent to submit, and then they call for  
8 nominations, then call for candidate standards, and then  
9 there's a consensus standard review, public and member  
10 comment, member voting, and then approval, committee  
11 decision, board ratification, and appeals.

12 This is what just recently happened. We're  
13 currently under public and member comment, just to give  
14 you some context there.

15 (Slide.)

16 HRSA submitted one measure, which was  
17 proportion of inference covered by newborn blood spot  
18 screening. NCQA, National Center for Quality  
19 Assessment, submitted one; and CDC submitted eight  
20 related to hearing. Of those, the HRSA measure was  
21 endorsed in a time-limited manner because we didn't have  
22 any data to back us up and so we need to prove that we

1 can actually -- yes, Denise?

2 DR. DOUGHERTY: Just a matter of language. I  
3 think it's not endorsed until the NQF board endorses it.

4 Right now the Committee on Children's Health Care  
5 Quality Measures recommends these measures, and they're  
6 going out for public comment. And after the public  
7 comment, the NQF board decides whether to endorse them.

8 This is the current recommendation that's  
9 going out for public comment, which I think you said.  
10 But using the word "endorse" -- it's a recommendation to  
11 endorse.

12 CHAIRPERSON HOWELL: Denise, give me a little  
13 insight, or maybe Sara, about the board of this group.  
14 The "board" is referred to. Who is the board? What's  
15 the constituency of that board?

16 DR. DOUGHERTY: It's a broad constituency.  
17 Gee, we'd have to look it up and tell you who the  
18 members are. I think March of Dimes used to be on the  
19 board, for example. AHRQ is on the board. HRSA may be  
20 on the board now. But it's mostly private sector,  
21 professional societies and payers, insurance companies,  
22 and that kind of thing. It's a voluntary board. You



1 volunteer to be nominated, but I think you have to get  
2 elected by the membership.

3 We can look it up for you.

4 CHAIRPERSON HOWELL: Thank you.

5 DR. So, just to clarify, the recommendations  
6 are to endorse in a time-limited manner. They did not  
7 recommend to endorse the newborn blood spot screening  
8 from NCQA, which was -- this was more of a physician  
9 practice recommendation, which was the percentage of  
10 children who turn six months old during the measurement  
11 year had  
12 documentation in their medical record, and-or -- they  
13 recommended endorsement one, two, three, four of the CDC  
14 measures, and I'll get into those a little bit more.

15 So discussion of those that are recommended to  
16 be endorsed was the HRSA measure, which was proportion  
17 of infants covered by newborn blood spot screening and  
18 what percentage of infants had blood spot newborn  
19 screening performed as mandated by the state of birth.

20 The number of infants born will come from  
21 state birth certificates and hospital discharge records,  
22 and the details of each state mandate will define which

1 infants may be excluded. Unfortunately, at this point  
2 in time we don't have a really good way to link those  
3 together, so we're going to be working to do that.

4 (Slide.)

5 Then from the CDC, the recommended to be  
6 endorsed measures were: the measurement of hearing  
7 screening prior to hospital discharge, those who did not  
8 complete screening before discharge, the percent that  
9 had outpatient hearing screening, and then those that  
10 failed their screening that had follow-up at three  
11 months and at six months, the percentages.

12 (Slide.)

13 So next step. The draft of the committee's  
14 recommendation or draft report is posted and it's on the  
15 web site for review and comment by members of NQF and  
16 the public; and the end result, if it is endorsed, since  
17 NQS inception IoM, the federal task force, and major  
18 stakeholders have recommended that it be tasked with  
19 managing a set of standardized quality measures. In  
20 '09, NQF entered into a contract with the Department of  
21 Health and Human Services to establish a portfolio of  
22 quality and efficiency measures for use in reporting on

1 and improving health care quality.

2 So there is some benefit in having these  
3 endorsed and there might even be some teeth behind them  
4 as well. At this point in time, the federal government  
5 uses the standardized performance measures in its public  
6 reporting and payment programs, and NQF's endorsed  
7 measures are the measures of first choice by the Federal  
8 Government and private purchasers. So they set the  
9 stage for standardization of public reporting..

10 Just for an example, a previous measure was  
11 regarding aortic aneurism, and with the NQF endorsement  
12 decision they're deemed scientifically acceptable and  
13 suitable for public reporting. CMS has indicated these  
14 measures are intended for public reporting purposes and  
15 it's considering including these proposed measures for  
16 payment determination.

17 I wanted to know why NQF -- what the  
18 implications would be for having it endorsed, and it  
19 seems that this will have some implication in terms of  
20 payment.

21 (Slide.)

22 So if you need to contact me, there's my

1 information.

2 CHAIRPERSON HOWELL: I have a question. Go  
3 back to the aortic aneurism slide.

4 (Slide.)

5 And tell me exactly what happened? This  
6 endorsement has occurred and so in the real world what  
7 happens? I run a hospital; this endorsement does what  
8 for me?

9 DR. DOUGHERTY: Nothing.

10 CHAIRPERSON HOWELL: What?

11 DR. DOUGHERTY: Nothing. It's all voluntary.

12 They endorse and they have this broad, broad group of  
13 stakeholders to encourage people to actually use the  
14 measures that get endorsed. It's a national consensus  
15 body.

16 CHAIRPERSON HOWELL: I'm still puzzled about  
17 aortic aneurism. What would you -- what are you  
18 endorsing, that you report them to somebody or that you  
19 find them when the person comes in the hospital, or  
20 what?

21 DR. COPELAND: I think this is a screening  
22 test. I'm not sure exactly what screening test it was

1 for aortic aneurism, but there was a consensus on  
2 measurement or monitoring.

3 Someone's raising their hand back there. They  
4 might know.

5 DR. OSTRANDER: I'm a family doctor. What it  
6 is --

7 CHAIRPERSON HOWELL: Oh, good. We need some  
8 wisdom.

9 DR. COPELAND: Come to a microphone, please.

10 CHAIRPERSON HOWELL: Come to a microphone.

11 You can tell us.

12 DR. COPELAND: And say your name.

13 DR. OSTRANDER: I'm Robert Ostrander. What  
14 they endorsed was -- I'm from upstate New York -- the  
15 ultrasound screening for aortic aneurisms in men 65  
16 years and older who have a history of smoking, with  
17 evidence that the incidence of that is high enough that  
18 it warrants screening so you can monitor and intervene  
19 early.

20 The effect has been, number one, that people  
21 are starting to adopt it separate from any punishments  
22 or rewards, just as a medical standard; and that the

1 insurance companies will cover this science this  
2 screening test as a medically necessary service. So  
3 that's what's happened because of this, so it actually  
4 has had some effect.

5 CHAIRPERSON HOWELL: So basically, you  
6 identify persons at risk because of age and personal  
7 habit and you say that it's appropriate if you're in  
8 practice to do screening for that particular problem.  
9 I'm sure Mr. Holbrooke would have been glad to have  
10 heard about this earlier.

11 Alan.

12 DR. FLEISCHMAN: Coming closer to the  
13 perinatal world, NQF endorsed five major measures, which  
14 were then adopted by the Joint Commission. The Joint  
15 Commission, the group that accredits the hospitals, has  
16 now added that to their standard package of measures  
17 around early deliveries and breastfeeding and other  
18 issues of importance to perinatal health.

19 So the National Quality Forum is highly  
20 respected. It vets the measures quite significantly.  
21 I'm just looking at a list of its board of directors,  
22 chaired by William Roper, with liaison members from all

1 of the federal agencies -- CMS, AHRQ, CDC, etcetera. A  
2 rather prestigious group and very highly respected.

3 DR. DOUGHERTY: I just gave Michele the link.

4 If we wanted to see who all they were you could look at  
5 it, but it's probably not that useful at this point  
6 since Alan just summarized who the board was.

7 CHAIRPERSON HOWELL: That's helpful to me to  
8 get a little concrete feeling about what the implication  
9 of these acceptances of things that relate to our area.

10 Chris.

11 DR. KUS: I think the other part is measures  
12 that are specifically related to primary care docs or  
13 different things could be included in state reporting,  
14 and sometimes that is used if you consider pay for  
15 performance. That's a possibility. So in New York  
16 State our measurement of managed care includes some of  
17 those measures.

18 CHAIRPERSON HOWELL: Any other further  
19 comment? (No response.)

20 CHAIRPERSON HOWELL: Well, thank you very  
21 much, Sara. That puts us actually just a couple minutes  
22 ahead of time.

1           Our next session will be chaired by Jim Perrin  
2    from Boston. Jim's on the phone and he's going to go  
3    through with us the Evidence Review Workgroup report,  
4    the preliminary report on the candidate nomination of  
5    hyperbilirubinemia. Jim is, of course, joining us by  
6    telephone and we'll look forward to hearing from him.

7           DR. LLOYD-PURYEAR: Somebody else came on the  
8    phone. Can you let us know who it was?

9           DR. BOTKIN: This is Jeff Botkin. I was  
10   rejoining.

11          DR. LLOYD-PURYEAR: Okay, thank you.

12          Dr. Frempong is in Ghana. Kaf, are you on the  
13   phone?

14          (No response.)

15          Mike Skeels, are you on the phone?

16          (No response.)

17          CHAIRPERSON HOWELL: Jim, I think we've got  
18   our telephone situation settled. I think Michele in her  
19   next life will be a telephone operator. But anyway,  
20   let's hear about the hyperbilirubinemia -- oh, she  
21   confesses. She used to be an operator. And ATT has  
22   never recovered. But anyway --



1           (Laughter.)

2           Jim, are you there?

3           (No response.)

4           CHAIRPERSON HOWELL: Oh, my goodness. What  
5 happened to Jim? He was on the phone a bit ago. Could  
6 someone see if they could find Jim for us? Apparently  
7 Jim is not on the phone. He's been on all morning. As  
8 you know, we have had a longer discussion with Jim on  
9 the phone earlier. He might have stepped away since  
10 we're a couple of minutes early.

11           Is there anything else that we need -- that  
12 would be -- I don't want to go into the afternoon  
13 things. But let me bring up one little note that I was  
14 going to do before lunch anyway. I wanted to remind  
15 you, the last time that we had a meeting in this hotel  
16 we overwhelmed the restaurant upstairs. It's a  
17 relatively small restaurant and everybody went upstairs  
18 -- particularly it would be attractive today -- and the  
19 restaurant became totally overwhelmed, so that many of  
20 you were unable to return for the early part of the  
21 meeting because you were still waiting on your food.

22           At the registration desk outside, there's a

1 list of other places to eat in the area which might not  
2 take quite as long to get served. On the other hand,  
3 you'll have to go through the snow, so you'll have to  
4 kind of play that both ways because the snow has not  
5 been shoveled very effectively to have you leave the  
6 hotel block.

7 Jim, are you there?

8 (No response.)

9 Jim is not there. Does anybody have anything  
10 else they would like to discuss while we're waiting?  
11 Maybe someone could sing a song or something.

12 (Telephone tone.)

13 CHAIRPERSON HOWELL: Is that you, Jim?

14 (No response.)

15 DR. McLAUGHLIN: I just wanted to comment  
16 about the measures; CMS chooses NQF-endorsed measures  
17 for their physician quality reporting initiative, which  
18 fiscal years can report measures which then will give  
19 them a bump in their payment rate, depending on how good  
20 their measures reporting are. So NQF's measure  
21 endorsement does lead to higher payments for fiscal  
22 years in Medicare-Medicaid.

1           CHAIRPERSON HOWELL: Good. So that has a  
2 concrete reason.

3           Apparently Sara has something else to say?

4           DR. COPELAND: That's Kathryn McLaughlin.  
5 She's our newest project officer.

6           CHAIRPERSON HOWELL: Any word from Jim?

7           DR. LLOYD-PURYEAR: I just called him. He's  
8 calling in now.

9           DR. LLOYD-PURYEAR: Oh, he is joining. Jim,  
10 are you on now?

11           (No response.)

12           DR. LLOYD-PURYEAR: Who just joined?

13           DR. BHUTANI: This is Vinod Bhutani. I just  
14 rejoined.

15           DR. LLOYD-PURYEAR: Hi. Good.

16           Jim, are you on?

17           DR. JOHNSON: This is Lois Johnson. I just  
18 entered.

19           DR. LLOYD-PURYEAR: Who?

20           DR. JOHNSON: Lois Johnson.

21           DR. LLOYD-PURYEAR: Hi.

22           CHAIRPERSON HOWELL: Good.

1 DR. LLOYD-PURYEAR: We're waiting for Jim  
2 Perrin.

3 CHAIRPERSON HOWELL: We're waiting on Jim  
4 Perrin, who's been on the phone all morning, but seems  
5 to have gone out sledding or something around the  
6 hospital.

7 DR. LLOYD-PURYEAR: Jim, are you on the phone  
8 now?

9 DR. PERRIN: I'm on the phone. Hello.

10 CHAIRPERSON HOWELL: Oh, good. How was the  
11 sledding outside?

12 DR. PERRIN: It was great.

13 CHAIRPERSON HOWELL: Anyway, we are delighted  
14 to have Jim and he's going to lead the discussion on the  
15 report, the nomination for hyperbilirubinemia. On the  
16 phone we have Dr. Bhutani and Dr. Johnson, who are  
17 joining us also by telephone.

18 Jim.

19 EVIDENCE REVIEW WORKGROUP REPORT: PRELIMINARY

20 REPORT ON THE CANDIDATE NOMINATION HYPERBILIRUBINEMIA

21 (Slide.)

22 DR. PERRIN: Thank you very much, Rod. We

1 appreciate the opportunity to make this report. I see  
2 we have the slides up there. I'm sorry I can't be with  
3 you.

4 CHAIRPERSON HOWELL: We have the slides up  
5 there.

6 DR. FLEISCHMAN: Can we make this a little  
7 louder?

8 CHAIRPERSON HOWELL: The answer is yes.

9 DR. PERRIN: Super. Can you hear me now?

10 CHAIRPERSON HOWELL: Yes.

11 DR. PERRIN: Great. So if I can have the  
12 first real slide, it says "Recent Progress and  
13 Activities."

14 (Slide.)

15 Just to bring the committee up to date on what  
16 we've been doing recently, and then we'll talk about  
17 where we are today.

18 As you know, at the meeting in September we  
19 presented the final report on critical congenital  
20 cyanotic heart disease, and Alex Kemper and Alex Knapp  
21 are in the process of putting together a paper relating  
22 to the review work that we did. There has been some

1 other work that the Advisory Committee has taken on with  
2 respect to the follow-up on that report and the AC  
3 recommendations arising after reviewing that report.

4 Today we're going to talk about neonatal  
5 hyperbilirubinemia. I just wanted to remind the AC that  
6 we're presenting today only the preliminary systematic  
7 review of published literature today. So there are  
8 undoubtedly questions that we are interested in and  
9 you're interested in that will now come through because  
10 we're only presenting what has been published so far.

11 A couple of recent publications: a paper in  
12 Genetics Medicine and a paper in the Journal of  
13 Pediatrics. Tomorrow there will be an opportunity where  
14 Ned and Rod will describe some of the work we're doing  
15 together to think through how to strengthen our evidence  
16 review process and make it even more beneficial to the  
17 committee in its decisionmaking.

18 Next slide, please.

19 (Slide.)

20 For the report today, the main workgroup  
21 members have been John Co here at the MGH, Alex Knapp,  
22 Danielle Metterville in our team at the MGH, and Lisa

1 Prosser, who has worked on the economic studies, from  
2 the University of Michigan. The slide then shows other  
3 members of our ongoing evidence review work team.

4 Next slide, please.

5 (Slide.)

6 The materials that we're including in the  
7 preliminary review -- and these should be in your  
8 packets or available on the download from the website --  
9 are: the detailed literature review methods; summary of  
10 the evidence from our review; tables highlighting key  
11 data from the abstracted articles; and the bibliography  
12 that we include in our review.

13 Next slide, please.

14 (Slide.)

15 Neonatal hyperbilirubinemia, to provide a  
16 little bit of background for what this condition is and  
17 what we are trying to share with you, this is defined  
18 basically as elevated total bilirubin level in the  
19 newborn. It arises from a relatively wide variety of  
20 etiologies. It's a detectable risk factor for both  
21 acute bilirubin encephalopathy and kernicterus, which is  
22 a longer-term encephalopathic condition arising from

1 bilirubin toxicity.

2           The primary concern here really reflects the  
3 potential for neurotoxic effects of severe  
4 hyperbilirubinemia.

5           If I may have the next slide, please.

6           (Slide.)

7           The conceptual framework that we're dealing  
8 with is somewhat similar to what we've shown you in the  
9 past. Here there is a sort of continuum from neonatal  
10 jaundice to hyperbilirubinemia to acute and then chronic  
11 encephalopathic results of hyperbilirubinemia. The  
12 treatment, of course, is at the point of  
13 hyperbilirubinemia itself. It's not at a level of ABE  
14 or kernicterus.

15           If I can have the next slide.

16           (Slide.)

17           The rationale for review included these  
18 several comments, many of them arising from Dr.  
19 Johnson's nomination of the condition, but really  
20 reflect the fact that hyperbilirubinemia can lead to  
21 kernicterus, with permanent damage to the central  
22 nervous system and death. That's to say this is a very



1 serious condition with major results for the child and  
2 family.

3 Second is that early identification of risk  
4 factors for kernicterus, including elevated serum  
5 bilirubin, could allow interventions with lower risk.

6 Third is that measurement of bilirubin either  
7 through transcutaneous or blood drawing, total serum  
8 bilirubin measurement, is pretty widely available.

9 Fourth, that treatment is widely available to  
10 prevent severe neonatal hyperbilirubinemia, especially  
11 phototherapy, but also exchange transfusion.

12 Next slide, please.

13 (Slide.)

14 In our early work we put together a technical  
15 expert panel that helped us to define and refine our  
16 case definition. These included Doctors Bhutani and  
17 Johnson, on the call with us, Dr. Maisels, Dr. Stark,  
18 and Dr. Stevenson. Dr. Tom Newman also provided some  
19 advice prior to the actual phone meeting of this expert  
20 panel.

21 Next slide, please.

22 (Slide.)

1           For each of the conditions that we've reviewed  
2           at the request of the Advisory Committee, obviously an  
3           important early step has been coming up with a case  
4           definition. In this circumstance, it's actually been  
5           more difficult because we're talking about a couple of  
6           different conditions. In fact, I'm going to lay out  
7           three definitions for the committee's consideration.

8           First is neonatal hyperbilirubinemia, by which  
9           we mean clinically significant bilirubin levels in the  
10          newborn period, above 95th percentile for age in hours,  
11          and levels that may require follow-up and treatment.

12          The second case definition and perhaps the  
13          least consistent one in the literature is acute  
14          bilirubin encephalopathy, which is meant to be the  
15          variable acute manifestations of bilirubin toxicity  
16          early in neonatal life, and including somnolence,  
17          hypotonia, decreased Moro, and then potentially  
18          developing into an irreversible stage with external  
19          muscle group hypertonia.

20          Chronic bilirubin establishment, otherwise  
21          called kernicterus, is defined as chronic and permanent  
22          brain damage caused by bilirubin toxicity, characterized

1 by four clinical manifestations: movement disorder --  
2 athetoid especially -- auditory dysfunction, oculomotor  
3 impairment, and a non-neurological finding, which is  
4 dental enamel hypoplasia.

5 Now, importantly, hyperbilirubinemia has also  
6 been associated with other longer-term neurologic  
7 dysfunction that we've listed before in kernicterus,  
8 especially auditory dysfunction, and we will address  
9 these associations also in this review.

10 If I can have the next slide, please.

11 (Slide.)

12 As with our earlier reviews for the committee,  
13 we've done this essentially in two steps, and we're  
14 reporting on step one today, which is the preliminary  
15 report, limited only to systematic literature published  
16 and reviewed that we've attempted to summarize the  
17 evidence as regarding natural history, screening,  
18 treatment, and economics of screening for neonatal  
19 hyperbilirubinemia.

20 When we present our final report to the  
21 committee at the next meeting in May, we will at that  
22 time have updated the literature review. We will have

1 consulted also with a number of experts and consumers  
2 relating to issues of neonatal hyperbilirubinemia and,  
3 where we can identify relevant unpublished data we will  
4 also try to summarize that for the consideration of the  
5 committee.

6 So again, I'm reporting only on the first half  
7 of the preliminary report today.

8 Next slide.

9 (Slide.)

10 As per our usual strategy, we carried out a  
11 systematic review of the literature. We did searches of  
12 databases. We also reviewed references from the  
13 nomination form and the bibliography of review papers.  
14 Three of our staff, Dr. Co and Alex Knapp and Danielle  
15 Metterville, reviewed all abstracts and independently  
16 abstracted a subset of the articles to assure consistent  
17 abstraction by our abstracters.

18 Next slide, please.

19 (Slide.)

20 The literature review led to our abstracting -  
21 - examining about 2700 abstracts. 172 articles were  
22 selected for in-depth review and 99 articles met all

1 inclusion criteria for abstraction. That is a somewhat  
2 larger number than has been true for some of the earlier  
3 reviews that we've done for the committee and really  
4 reflects the fact that neonatal hyperbilirubinemia is a  
5 moderately common disorder and there's a substantial  
6 literature in this area, unlike some of the rare  
7 conditions that we've talked about in the past.

8 If I can have the next slide.

9 (Slide.)

10 The actual report includes more detailed  
11 tables such as this one, which describes some of the  
12 quality of the studies that we have reviewed in each of  
13 the areas, four major areas of review. But this gives  
14 you information about the total number of studies here.

15 It's worth noting that there are only four studies that  
16 are experimental interventions here of any kind. There  
17 are a small number of cohort studies, a very small  
18 number of case-control studies, and, as per usual, the  
19 vast majority of studies that we reviewed are really  
20 case series. In this case, the case series may be ones  
21 that include a fairly large sample size, but still the  
22 large majority of studies are really case series.

1           By the ways that we grade the level of  
2 evidence, in general these are not high level evidence.

3       We'll talk about that more in detail as we get into  
4 some of these in more specifics.

5           If I can go on then to the next slide.

6           (Slide.)

7           Let's start with description of the condition,  
8 and these are the key questions that we tried to answer  
9 or to examine whether the literature helped us provide  
10 some answers: How well is neonatal hyperbilirubinemia  
11 defined? When does it appear? What are the known risk  
12 factors?

13           What's the evidence available regarding the  
14 relationship between severe neonatal hyperbilirubinemia  
15 and kernicterus? How well characterized is kernicterus  
16 and when does it appear clinically?

17           Next slide, please.

18           (Slide.)

19           This provides first some information about the  
20 incidence of these conditions to provide a bit of  
21 perspective on rate. So newborn jaundice, babies who  
22 are yellow and have elevated bilirubin, are actually

1 quite common. 10 to 15 percent of newborns have newborn  
2 jaundice.

3           Bilirubin levels above about 25, however,  
4 occur in less than one in 100 infants, in fact more like  
5 one in 1,000 infants. Bilirubin levels of over 29 are  
6 even less common, as you can see, .01 percent.

7           Going to the next step and trying to examine  
8 literature regarding rates of kernicterus in newborns,  
9 the rates appear to be currently somewhere in the order  
10 of one to two per 100,000 newborns. So when you go from  
11 hyperbilirubinemia of any level, 10 to 15 percent, and  
12 then come down to rates of kernicterus, the condition  
13 that in general one may want to try to prevent, we're  
14 talking about relatively rare phenomena.

15           If I can go to the next slide.

16           (Slide.)

17           There is a little bit of evidence of change in  
18 incidence, both of jaundice and readmission rates for  
19 jaundice. These probably do relate to changing patterns  
20 of screening for bilirubin in different conditions. But  
21 if you look at the first one here, the California data,  
22 there were a number of factors that were associated with

1 here increased likelihood of readmission, i.e.,  
2 readmission for hyperbilirubinemia, that included young  
3 gestational age or what might be called mild preterm  
4 deliveries, 34 to 39-week babies, smaller birth weights,  
5 being male, being insured, and being of Asian race.  
6 That seems to show as well in other studies, too.

7           So the next couple of incidence provide a  
8 little bit of information about changing rates of  
9 newborn jaundice and also changing rates of children  
10 with kernicterus. But again, this notion of somewhere  
11 between, in the past, maybe as high as 5 per 100,000 to  
12 rates now seeming to be on this order of one to two per  
13 100,000. Whether we can associate that with changing  
14 patterns of identification, I'm afraid we don't have  
15 evidence to clearly show that.

16           If we can go to the next slide, please.

17           (Slide.)

18           Risk factors then for hyperbilirubinemia and  
19 kernicterus have some similarity, with prematurity and  
20 Asian race both being there. For hyperbilirubinemia,  
21 isoimmunization such as ABO incompatibility and  
22 hemolytic disease, low birth weight are all associated



1 with higher rates of hyperbilirubinemia. Kernicterus,  
2 you can see the list here. The early discharge one is  
3 of interest certainly in thinking through strategies for  
4 following children over time.

5 Next slide, please.

6 (Slide.)

7 The spectrum of severity has been described in  
8 a number of studies. We do summarize these studies in  
9 Table 5 in the larger report. Importantly, differences  
10 in study design limit our ability to compare these data  
11 in a meta-analytic fashion in any particular way. But  
12 they do describe a reasonable spectrum of  
13 manifestations.

14 In the next slide, I'm going to talk about the  
15 acute manifestations, after which we'll talk about the  
16 chronic manifestations.

17 (Slide.)

18 When I say about acute, we're really talking  
19 now mainly about events that occur in the first few  
20 weeks of life and typically include such things as  
21 behavioral changes in the newborn, but also include some  
22 symptoms of central nervous system involvement and

1 abnormal findings on MRI or both visual and auditory-  
2 evoked potentials.

3           Some of the studies, but not all of them, show  
4 associations between the severity of these symptoms and  
5 the total serum bilirubin level. Some studies indicate  
6 symptoms are transient and that they resolve, but others  
7 do not. Again, if you look at Table 5 of the evidence  
8 review it provides more direct information on each of  
9 these short and long-term outcomes.

10           Next slide, please.

11           (Slide.)

12           Chronic manifestations of hyperbilirubinemia.

13           Seven studies showed significantly increased risk of  
14 abnormal neurodevelopment, especially gross motor, fine  
15 motor, adaptive social skills. Six studies showed that  
16 these neurodevelopmental issues appeared to resolve over  
17 time. None of these studies are particularly large.  
18 They all do have some real concerns about the quality of  
19 the evidence in each of these studies.

20           Auditory issues are really a little bit better  
21 described. There are three studies actually that do  
22 indicate a direct relationship between levels of serum

1 bilirubin above 20 and the risk of developing long-term  
2 hearing disorders.

3 Next slide, please.

4 (Slide.)

5 Kernicterus then. The evidence here is  
6 predominantly retrospective evidence that we have,  
7 rather than prospective evidence. The Pilot USA  
8 Kernicterus Registry, which has described now 125 cases,  
9 does demonstrate, for example, that this is a serious  
10 condition, with about 5 percent of the infants dying in  
11 the first year of life, some characteristic changes in  
12 MRI.

13 But of interest is no clear evidence that one  
14 has to achieve a particular level of bilirubin in order  
15 to lead to kernicterus. Indeed, kernicterus has been  
16 reported in apparently healthy term newborn without  
17 hemolysis and in some children whose bilirubins were not  
18 in fact particularly high. Again, the majority of these  
19 cases were children who did have high documented  
20 bilirubins, but there are exceptions to that rule.

21 Again, the next slide, please.

22 (Slide.)

1           The pilot registry does show again some of  
2   these contributing factors: G6PD deficiency, hemolytic  
3   disease, birth trauma, sepsis, dehydration, and  
4   infection. So there does seem to be some consistency in  
5   those as risk factors. Again, most children don't  
6   actually have those risk factors in the kernicterus  
7   registries.

8           So if I may go on then to the next slide, our  
9   last slide relating to description of the condition or  
10   conditions that we're talking about.

11           (Slide.)

12           These are expressions that remain a little bit  
13   unclear and for which we hope to get more evidence from  
14   our discussions with experts in the next phase of our  
15   work. One is the strength of the evidence on the  
16   relationship between severe neonatal hyperbilirubinemia  
17   and kernicterus, and when exactly do we have evidence  
18   about when kernicterus appears clinically?

19           (Slide.)

20           Let me move now to the second major area that  
21   we examined. We've described the condition, its  
22   prevalence --

1 DR. BOYLE: Jim, Jim. Can I ask a question?

2 CHAIRPERSON HOWELL: Jim, excuse me. There's  
3 a question. Dr. Boyle has a question.

4 DR. BOYLE: I guess for these two questions --  
5 I was thinking there was a third one, but maybe the  
6 evidence is already there and there's not remaining  
7 questions, and that would be the relationship between  
8 acute -- well, I guess what you refer to in the case  
9 definition as acute bilirubin encephalopathy and chronic  
10 or long-lasting; do you feel like that, there's enough  
11 evidence there and that's not a remaining question?

12 DR. PERRIN: Well, no, I think we could  
13 include that. I think what we do have evidence on,  
14 Coleen, is the evidence for persisting  
15 neurodevelopmental and auditory outcomes. Again, as I  
16 said in the presentation, it's not extremely good  
17 evidence, but there is certainly some evidence that  
18 supports the association of hyperbilirubinemia and those  
19 longer neurodevelopmental outcomes other than  
20 kernicterus.

21 DR. BOYLE: Okay. I got I guess a little  
22 confused in your case definitions to start and in the

1 fact that you didn't sort of follow through with using  
2 those case definitions, but maybe there's a rationale  
3 for that.

4 DR. PERRIN: I think that's a super question.

5 I think in fact we in retrospect, having done the  
6 literature review after we developed the case  
7 definitions, I think we would have wanted to expand the  
8 definition a little bit more of what we mean by chronic  
9 bilirubin encephalopathy, because obviously it includes  
10 not only kernicterus but also other neurodevelopmental  
11 findings, some of which are pretty non-specific, i.e.,  
12 delayed gross motor, adaptive social skills. But the  
13 more specific one is auditory findings.

14 Now, if you look at the case definition of  
15 kernicterus, it includes auditory among the elements of  
16 that. So it might be that taking the word "kernicterus"  
17 off that definition of chronic bilirubin encephalopathy  
18 might be the better strategy here.

19 Would that sort of answer your question?

20 DR. BOYLE: I think so. Thank you.

21 DR. PERRIN: Any other questions before we  
22 move on to three?

1 DR. BHUTANI: Yes. Hi, Jim. This is Vinod  
2 Bhutani. That was a very great review and presentation.  
3 I just wanted to bring out the fact that, and I don't  
4 know if you addressed this, is that, looking at the  
5 incidence of hyperbilirubinemia and the acute bilirubin  
6 encephalopathy, the background of intervention was  
7 probably variable. That is, the use of phototherapy,  
8 which was based then on identification of children who  
9 needed phototherapy, was variable.

10 DR. PERRIN: Could we put this comment off  
11 until a bit later?

12 DR. BHUTANI: Sure.

13 DR. PERRIN: This is really not in the  
14 incidence-condition area, but it gets more into the  
15 treatment side, and we will be there in a few minutes.  
16 Would that be okay?

17 DR. BHUTANI: Yes, that would be fine,  
18 absolutely. Thank you.

19 DR. PERRIN: Thank you very much. Great.

20 If it's okay, I think we'll move on to  
21 screening now. If I can have the next slide, the key  
22 questions, screening: What methods exist to screen

1 newborns and how does timing, when in the prenatal  
2 period, what gestational age, threshold levels, other  
3 considerations, are important in helping to determine  
4 significant risk for significant neonatal  
5 hyperbilirubinemia? Then the third question: What's  
6 the predictive validity of using risk assessment  
7 nomograms to predict risk of developing severe  
8 hyperbilirubinemia?

9           Next slide, please.

10           (Slide.)

11           Additional questions in screening: What are  
12 the recommended follow-up and monitoring procedures for  
13 newborns found to have an intermediate risk level by  
14 bilirubin screening, an important question? What do we  
15 know about outpatient capability to handle follow-up  
16 visits for screen positive infants? Has there been  
17 population-based pilot screening? And what do we know  
18 of potential harms and risks associated with screening?

19           Let me stress again as we go through the next  
20 slides I'm going to be presenting information about  
21 again the published literature. We will be exploring  
22 these questions in more depth in the next phase of our



1 review in talking with experts, including some of the  
2 ones on the phone today, and hopefully we'll be able to  
3 provide even more information at that time.

4 Next slide, please.

5 (Slide.)

6 There are three major strategies for  
7 estimating the level of newborn bilirubin: visual  
8 assessment, transcutaneous bilirubin, a non-invasive  
9 strategy, and then blood-drawing, leading to measurement  
10 of total serum bilirubin.

11 Our report provides a good deal more  
12 information here than I'm going to provide at the  
13 moment, so I will summarize a little bit of it, to say  
14 first of all that in general the evidence for visual  
15 assessment would suggest that it is not a very reliable  
16 strategy for determining accurately total serum  
17 bilirubin. I'm not presenting that evidence, but it is  
18 in the evidence report. I'm going to spend more time on  
19 transcutaneous bilirubin and total serum bilirubin  
20 descriptions, as well as the work that's been done to  
21 develop nomograms that are hour-specific in predicting  
22 the development of severe hyperbilirubinemia.

1           If I can have the next slide.

2           (Slide.)

3           This is now screening using this total serum  
4 bilirubin and the question this slide addresses is  
5 whether total serum bilirubin screening is associated  
6 with subsequent significant hyperbilirubinemia. So if  
7 you go to column 3, "cutoff, timing," this is basically  
8 serum bilirubin is measured at different levels. You  
9 can see generally about 6, in some cases 9 or 12,  
10 milligrams per deciliter, at generally 24 hours,  
11 although some of the studies also look at 48 hours or in  
12 one case up to 72 hours.

13           The fourth column indicates the distal of  
14 this, i.e., the measurement of significant  
15 hyperbilirubinemia, in general measured here as greater  
16 than 17 milligrams per deciliter, at age over 24 hours  
17 of age, although it may be in some cases, some of these  
18 studies, later ages.

19           These studies are all done with healthy term  
20 infants here, and you can see that the sensitivity in  
21 almost all cases is quite good. The exceptions are  
22 really a population in the next to the last study here,

1 which used a different measure of cutoff timing that may  
2 in fact explain the difference in sensitivity here.

3           Sensitivity -- sorry. Specificity is quite  
4 high throughout. Positive predictive value is in the  
5 teens to 20s and the negative predictive value is very  
6 high, given the relatively low rates of high significant  
7 hyperbilirubinemia at 72 hours of life.

8           So this again now provides pretty strong  
9 evidence that TSB screening early on is pretty  
10 predictive of subsequent significant hyperbilirubinemia  
11 and that especially negative results are reassuring of  
12 the lack of likelihood of going on to develop  
13 significant hyperbilirubinemia at approximately 72 hours  
14 of age.

15           Next slide --

16           (Slide.)

17           -- is now looking, not at serum bilirubin, but  
18 looking at whether there is a good association of  
19 transcutaneous bilirubin measurement with concurrent  
20 total serum bilirubin values. It's not predictive.  
21 This is now associative, concurrent findings. This  
22 includes three studies that are among healthy term

1 infants and two studies that are with premature infants,  
2 the last two studies on the list here. Somewhat  
3 different cutoff measures here that you can see listed,  
4 from 14, 11, 18, 17, etcetera. The TSB comparison  
5 values, somewhat comparable to the cutoff values.  
6 Sensitivity is extremely high in all cases except the  
7 one premature infant study, the second value in the next  
8 to the last study. The specificity is also generally  
9 quite good here, varying from 40 percent, with one  
10 exception, a small study of premature infants, to as  
11 high as 70 percent, 80 percent.

12 DR. BOYLE: Jim. Jim.

13 DR. PERRIN: Yes.

14 DR. BOYLE: This is Coleen again. I guess  
15 maybe just let me understand if I'm interpreting column  
16 number 3 appropriately. So those measurements were  
17 taken at 70 hours, 4 or 5 days. I guess I'm just  
18 thinking of the relevance of this for newborn screening.

19 DR. PERRIN: I will get in the next slide --

20 DR. BOYLE: The next slide, okay.

21 DR. PERRIN: -- to the predictive value. But  
22 you're absolutely right. So this is really trying to

1 determine basically whether TCB and TSB measure  
2 approximately the same levels. So these are basically  
3 concurrent, concurrent sampling. So you could also view  
4 that as if TCB -- what we're asking here is is TCB an  
5 accurate measure of TSB.

6 DR. BOYLE: Okay.

7 DR. JOHNSON: Could I ask a question? What  
8 was your definition of significant hyperbilirubinemia at  
9 72 hours of age? What percentile on the nomogram or  
10 bilirubin level per age and hours?

11 DR. PERRIN: I think our definition, the case  
12 definition, was greater than 95 percentile for age.  
13 Now, if you look at these studies -- and that's what I  
14 tried to say and may not have said it clearly enough --  
15 the studies vary a great deal on what they define the  
16 hyperbilirubinemia.

17 So what we've reported here are what the  
18 studies actually used.

19 DR. JOHNSON: Could you give an idea of what  
20 you considered significant? I still am a little  
21 confused.

22 DR. PERRIN: If we went to the previous slide

1       --           (Slide.)

2               -- this is really looking at the question of

3 whether these are children who had rates above 17.

4 There's still a relatively wide variation. I think one

5 can raise questions as to whether that is significant.

6           DR. JOHNSON: This is 17 even up to 72 hours?

7           DR. PERRIN: That's correct.

8           DR. JOHNSON: That's what I was trying to

9 clarify.

10          DR. PERRIN: Right.

11          DR. JOHNSON: Okay.

12          DR. PERRIN: But most of these studies are --

13 yes, even up to 72 hours. But most of these are

14 actually earlier than that.

15          DR. JOHNSON: Yes. It's interesting, in the

16 collaborative project the number of babies who have a

17 bilirubin of 17 -- this is pre-phototherapy age -- who

18 went up to over 20 was very similar to the number in the

19 nomogram who go up if they had a 17 at 72 hours of age.

20          DR. PERRIN: Thank you.

21               If I can go to the next slide.

22               (Slide.)

1           This is now screening TCB, and it says "TCB  
2   screening for subsequent significant  
3   hyperbilirubinemia." This is two studies, fairly large,  
4   400 in one, 2,000 in the next. This is now looking at  
5   whether transcutaneous bilirubin screening is associated  
6   with significant hyperbilirubinemia, in these cases  
7   defined, in these two studies, as greater than 17 at  
8   greater than 72 hours of age.

9           You can see the cutoffs that were used in the  
10   third column, varying from 5 to 8 to 11 to 13 basically.

11    You can see the sensitivity levels here and the  
12    specificity levels here, which are in general, by the  
13    way, pretty comparable, perhaps a little bit lower  
14    specificity, but not much, compared to the slide two  
15    slides ago, which was screening using total serum  
16    bilirubin rather than transcutaneous.

17           So pretty good sensitivity, pretty reasonable  
18    specificity. As before, the negative predictive value  
19    is extremely high and the positive predictive value  
20    varies from about 25 to 70.

21           DR. CALONGE: Jim, this is Ned.

22           DR. PERRIN: Yes.

1           DR. CALONGE: One of the things that as I go  
2 through this more times I don't have a sense for in the  
3 461 how many kids actually met the definition. I think  
4 that number -- there is variation around sensitivity and  
5 specificity clearly by the different studies. I think  
6 looking at the variation across the studies makes me  
7 think about that variation, about meta-analyses, about  
8 confidence intervals around any of the measures,  
9 especially the positive predictive value.

10           Having a sense of how many kids it's based on  
11 would actually be quite beneficial.

12           DR. PERRIN: That's a great idea and we will  
13 try to provide that to you. We obviously have that  
14 information. I don't have it off the top of my head.  
15 It's not a very large number of kids. The numbers at 72  
16 -- I'm sorry -- at greater than 17, 72, I can't tell you  
17 off the top of my head what the percentage, but it's not  
18 going to be 100 children.

19           DR. CALONGE: I just wanted to be cognizant of  
20 laboratory variation and other issues that would say  
21 that the stability of a positive predictive value that  
22 looks pretty good might not be very good. So the actual



1 confidence around that number, there's a variation that  
2 we just need to kind of always keep in mind, rather than  
3 take the number at face value.

4 DR. PERRIN: Absolutely right. Thank you.  
5 That's very helpful.

6 Let me move on to the next slide --

7 (Slide.)

8 -- which is really looking at the screening -  
9 risk nomograms. Doctors Bhutani and colleagues have  
10 been particularly critical in the development of these  
11 nomograms. I think it's really worth saying that this  
12 really reflects bringing together a series of data and  
13 trying to develop curves that are fairly predictive of  
14 children having an increased likelihood of developing  
15 severe hyperbilirubinemia.

16 Again, it can be defined in a couple different  
17 ways. The important things here really are again, you  
18 can really see these curves do vary. So if you use the  
19 percentile above 95th, which is more or less what we  
20 started out in the case definition, the sensitivity is  
21 about 50 percent, high specificity, etcetera. And you  
22 can see the variation when you include now a higher or -

1 - not really lower, but a higher inclusion level here  
2 and how the predictive values will change with that as  
3 well.

4 If I can go to the next slide.

5 (Slide.)

6 These are a couple of studies that really  
7 describe the use of these risk nomograms and show that  
8 their use in relatively large studies is associated with  
9 pretty good predictions of hyperbilirubinemia, here  
10 defined as above the 35th percentile, in both 48 and 98-  
11 hour cutoff points.

12 Similar issues as before; pretty good  
13 specificity and sensitivity here. So these are a couple  
14 studies about the application of the risk nomogram.

15 If I can go to the next slide, then.

16 (Slide.)

17 These are some summaries both of the materials  
18 that we have presented and then some of the things that  
19 are only in the full report. One is that  
20 underestimation of TSB level was the most common  
21 diagnostic error using just visual assessment. In  
22 general, the literature that we found would say that

1 visual assessment per se is not a very optimal method  
2 for defining hyperbilirubinemia or risk for subsequent  
3 severe hyperbilirubinemia.

4 The grading systems that exist for visual  
5 assessment don't seem to be helpful, did not prove  
6 accurate substantially.

7 The third bullet really is the TcB screening  
8 studies do seem to agree on the utility of using such  
9 screening, at the very least, to rule out subsequent  
10 severe hyperbilirubinemia and does provide at least a  
11 very high negative predictive value.

12 If I can have the next slide, then.

13 (Slide.)

14 The evidence would suggest that the  
15 interpretation of the risk of subsequent  
16 hyperbilirubinemia is possible using the hour-specific  
17 bilirubin nomogram using either TSB or TcB values; and  
18 data that we have not presented in the slides today, but  
19 are in our report, which is that multi-hospital  
20 university bilirubin screening was associated with a  
21 significantly lower incidence of hyperbilirubinemia and  
22 lower rates of hospital readmissions due to high

1 bilirubins.

2 The next slide --

3 (Slide.)

4 -- again are the remaining questions for  
5 screening. We will hope to bring you back evidence for  
6 some of these after we've had the opportunity to speak  
7 in depth with a number of the experts: What's the  
8 optimal approach for newborn screening? Do the use of  
9 risk factor assessments really improve prediction? Are  
10 they helpful? What follow-up practices should be in  
11 place, especially for newborns found to be in  
12 intermediate risk level by screening. Some of the  
13 children on the nomogram, for example, who are in the  
14 40th percentile.

15 Do outpatient facilities, including clinical  
16 practices of different kinds, have the capacity to  
17 handle follow-up visits for screening positive infants?

18 For example, how much TcB capability exists in  
19 community practice settings?

20 What are potential harms or risks associated  
21 specifically with screening? Can we find better  
22 evidence of population-based pilot screening?

1           What would be the effects of taking bilirubin  
2 screening to state-mandated screening?

3           And, I think of good interest to us all: What  
4 proportion of cases of kernicterus would be prevented by  
5 screening? We can actually do some estimates of that at  
6 this point.

7           That's now our review of the screening issues.

8           We've discussed condition, we've discussed screening.

9           We're going to go on in a moment to talk about treatment  
10 and ultimately talk a little about economics, for which  
11 there is some but not a lot of evidence.

12           DR. CHEN: I have a question.

13           DR. PERRIN: Please.

14           DR. CHEN: This is Dr. Chen. You're right,  
15 identifying early on that this is a different kind of  
16 condition than we've been used to talking about, in that  
17 it's fairly common in the usual practice of taking care  
18 of newborns at this point.

19           A couple questions came up in the screening  
20 sort of section. The first is that really it seems to  
21 me that one of the critical pieces is moving from  
22 whatever our usual practice, which I think you've shown

1 can be variable, to universal screening. That decrease  
2 in incidence to me suggests that -- is that decrease in  
3 incidence because you're screening more people and your  
4 denominator is then -- has changed? Or are you actually  
5 seeing a real effect of the screening and then  
6 subsequent identification and treatment?

7 DR. PERRIN: I think that the studies that  
8 we've reviewed all would suggest that the increased  
9 identification and the treatment of identified children  
10 has lowered the levels of bilirubin in children and  
11 diminished the likelihood of readmissions for high  
12 bilirubin levels.

13 Does that answer your question?

14 DR. CHEN: I think so. In my mind, it's just  
15 something that certainly in my community is just so  
16 commonly done. But it does seem like if it varies  
17 between a combination of screening strategies where  
18 you've got visual identification then leading to  
19 transcutaneous or serum testing, versus in some cases  
20 universal.

21 DR. PERRIN: Right, I think that's correct.  
22 So we do not have studies that sort of have compared the

1 effects of those, for example, two or three different  
2 strategies directly on rates of readmission. We did not  
3 identify literature that does that. But what I do  
4 believe the literature generally says is that in  
5 association with the increased screening one did find  
6 lower total rates of hyperbilirubinemia, severe  
7 hyperbilirubinemia, and lower total rates of  
8 readmission.

9 CHAIRPERSON HOWELL: That's a fairly important  
10 finding, and of course certain institutions have  
11 systematic screening programs.

12 Joe has a comment.

13 DR. BOCCHINI: At the same time, I think we're  
14 doing more outpatient treatment of elevated bilirubin  
15 levels with home phototherapy. So some of the decrease  
16 in admissions could be potentially related to outpatient  
17 treatments. So we probably need to look more at how  
18 many infants are being treated for hyperbilirubinemia,  
19 not readmission to the hospital for it.

20 CHAIRPERSON HOWELL: Yes, and of course the  
21 data would suggest that the actual incidence of  
22 kernicterus is declining.

1 DR. PERRIN: Right.

2 CHAIRPERSON HOWELL: Thank you very much, Jim.

3 DR. PERRIN: I think what we have troubles  
4 doing in looking systematically at the evidence is  
5 making a clear connection between one intervention and a  
6 particular outcome. We can merely provide you these  
7 associational data here, which is pretty compelling.

8 If I can move on --

9 CHAIRPERSON HOWELL: Excuse me just a sec.  
10 Chris has a question.

11 DR. KUS: Jim, just one question. Do we have  
12 any sense of how many newborns get at least one  
13 bilirubin test currently?

14 DR. PERRIN: Chris, I don't know that we've  
15 actually seen data like that. We did not ask a question  
16 that specifically.

17 Alex Knapp, do you remember any papers that  
18 addressed that question?

19 DR. KNAPP: No. We can go back and look in  
20 more detail and ensure that it's covered in the final,  
21 though.

22 DR. PERRIN: I don't know that. It's a really



1 super question, but I don't know, and I'm not sure that  
2 -- I don't remember seeing any papers that really talk  
3 about that specific an issue.

4 CHAIRPERSON HOWELL: I wonder if Dr. Bhutani  
5 or Johnson could shed some light on that question. Are  
6 you aware of data on that?

7 DR. BHUTANI: No. I think we have data on,  
8 obviously, the institutions that have adopted the  
9 screening and the use of bilirubin evaluation. The  
10 number of nurseries that have not adopted universal  
11 screening is probably about 40 to 50 percent. That's  
12 just anecdotal observation. But I've not seen any  
13 literature or data to that effect.

14 CHAIRPERSON HOWELL: Thank you very much.

15 Jim.

16 DR. PERRIN: Thank you.

17 Let's move on to the third area that we  
18 reviewed, which is treatment. Again, I'm sure we all  
19 see some of the overlaps of the discussions we've  
20 already had here.

21 (Slide.)

22 But the next slide lists our key questions

1 here: What are the methods to treat hyperbilirubinemia?  
2 What's their effectiveness? What's the relationship  
3 between outcomes and the timing of interventions?  
4 What's the availability of treatment? What do we know  
5 about harms or risks? And what do we know about whether  
6 treating neonatal hyperbilirubinemia reduces the  
7 incidence of kernicterus directly?

8 The next slide.

9 (Slide.)

10 The two major forms of treatment have been  
11 phototherapy and exchange transfusion. Indeed, exchange  
12 transfusion today is pretty much limited to a small  
13 population of children who very commonly have other  
14 medical conditions as well as hyperbilirubinemia. It's  
15 a relatively uncommon treatment today, but in the days  
16 prior to phototherapy, of course, exchange transfusion  
17 was substantially more common. But the treatment that  
18 is used today is almost entirely phototherapy in normal  
19 term infants who have hyperbilirubinemia.

20 If I can have the next slide.

21 (Slide.)

22 The evidence here is pretty clear. We provide

1 substantial tables in the full report, but to summarize  
2 the evidence: phototherapy does effectively decrease  
3 levels of total serum bilirubin in the neonatal period.

4 A number of studies pretty strongly show that. The  
5 evidence here is really quite good.

6 The effectiveness does vary to a degree in the  
7 reported studies, depending on a couple of issues: age,  
8 gender, gestational age, although we need to go back and  
9 make sure that we know exactly how strong an effect that  
10 is. We have indirect evidence of the wide availability  
11 of treatment.

12 Some of the physical complications associated  
13 with the therapy include fluid loss, some temperature  
14 instability, corneal damage; and the two most common  
15 reported are really skin rash and diarrhea.

16 We could find no good descriptions actually of  
17 disruptions in parent bonding with their child, both  
18 actually initially or in the long term, relating to  
19 phototherapy. That isn't to say there's no effect; it's  
20 just that we were not able to identify literature that  
21 described that effect well.

22 The next slide.

1 (Slide.)

2 Treatment of exchange transfusion. This is  
3 mainly fairly old studies and I would not put a great  
4 deal of emphasis on this because again this is not a  
5 very common treatment strategy today. Adverse comments  
6 -- sorry. Adverse events are common here. Mortality  
7 rates exist, morbidity rates exist. But partly, of  
8 course, this reflects children who have gotten in the  
9 past and are continuing to get EcT. And there is some  
10 controversy even as to on which levels of bilirubin one  
11 should perform EcT.

12 Next slide, please.

13 (Slide.)

14 Outcomes of treatment. Getting back to the  
15 question of, first of all, the chronic bilirubin  
16 encephalopathy issue. The studies that we were  
17 identified -- and again, I want to stress that these are  
18 not large studies in most cases and the level of the  
19 evidence is fair here. It's not -- these are not  
20 extremely good studies in most cases.

21 They do provide mixed results regarding  
22 whether treatment is associated with a reversal of

1 neurological and developmental symptoms. Again, this is  
2 the chronic rather than the acute bilirubin findings  
3 here. Some of them do show no or minimal resolution  
4 after treatment. Others suggest that there is recovery  
5 from the early clinical manifestations of  
6 hyperbilirubinemia. I'd say that the evidence  
7 on the effect on long-term outcome is fairly limited  
8 here at the moment.

9 The next slide.

10 (Slide.)

11 Treatment, harms. I think it's more important  
12 to focus on the left side here rather than the right  
13 side, again because of the relative likelihood of using  
14 phototherapy rather than exchange transfusion for term  
15 infants. Fluid loss, temperature instability, etcetera;  
16 corneal damage, which is treated predominantly by  
17 blindfolding infants especially, or preventing access of  
18 the phototherapy to the cornea. The bronze baby  
19 syndrome was reported early in the use of phototherapy,  
20 but basically it's an extremely rare condition. We did  
21 not find literature about bronze baby beyond a few case  
22 reports basically. And there are behavioral changes

1 that are described with phototherapy, including crying  
2 and some poorer scores in orientation items.

3 If I can go on to the next slide.

4 (Slide.)

5 Within treatment I think there are a couple of  
6 remaining questions. First, the evidence about whether  
7 treating hyperbilirubinemia prevents kernicterus or  
8 other types of chronic bilirubin encephalopathy is  
9 marginal at best. There's not really excellent data in  
10 that area. We don't, frankly, know much about the  
11 availability of treatment beyond indirect evidence at  
12 the moment.

13 Are there questions that you would like to ask  
14 about the treatment side at this point?

15 CHAIRPERSON HOWELL: Alan.

16 DR. JOHNSON: I think it's important to point  
17 out -- and the data's not really available -- that  
18 duration of exposure to what we think are dangerous  
19 levels of bilirubin in relation to the time of treatment  
20 makes a difference in terms of whether or not there does  
21 seem to be a reversal, with clear evidence of acute  
22 bilirubin encephalopathy being associated with or

1 without the long-term sequelae.

2           Certainly there's not a lot of evidence on  
3 this, but the case reports that do talk about this  
4 reversal are I think very important. Of course, some of  
5 those were mentioned in the kernicterus registry. And  
6 in relation to the work of Dr. Thomas Boggs at  
7 Pennsylvania Hospital before and after the advent of  
8 phototherapy, there are very clear evidences of his  
9 diagnosis of acute bilirubin encephalopathy by someone  
10 who saw a lot of babies like this, being reversed and at  
11 four and seven-year follow-up being associated with none  
12 of the characteristic sequelae of kernicterus or its  
13 more minor manifestations.

14           So it's important to keep that in mind. The  
15 actual data available to show that is very limited, of  
16 course.

17           CHAIRPERSON HOWELL: Dr. Fleischman.

18           DR. FLEISCHMAN: Jim, it's Alan Fleischman.

19           DR. PERRIN: Hi, Alan.

20           DR. FLEISCHMAN: I think in this treatment  
21 remaining question area you may want to add: Does  
22 treatment, i.e., phototherapy, prevent exchange

1 transfusion? Those of us who had a lot of experience  
2 doing those procedures are rare and becoming rarer. One  
3 could conclude that it is possible that the risk of an  
4 exchange transfusion has gone up, of complication with  
5 exchange transfusion. But clearly that early treatment  
6 does prevent exchange transfusion. At least it used to.

7 DR. PERRIN: Alan, that's a great question.  
8 Those of us who remember doing exchange transfusions,  
9 painfully, often in the middle of the night --

10 DR. FLEISCHMAN: Always.

11 DR. PERRIN: -- are happy that we do them less  
12 frequently.

13 I'm trying to think whether we have good  
14 direct evidence of cause and effect here. We probably  
15 do not, but there certainly is a substantial amount of  
16 temporal evidence that the use of phototherapy replaced  
17 exchange transfusions dramatically. So I think we can  
18 try to address that and provide the evidence for it, but  
19 I think there would be pretty good agreement that this  
20 has happened.

21 DR. FLEISCHMAN: I think the relevant point,  
22 Jim, for me is if you don't intervene early and you have



1 a child with a more serious, already acutely symptomatic  
2 and higher level, you are more likely to have exchange  
3 transfusion occur. So the science could potentially  
4 cause earlier intervention.

5 DR. PERRIN: Absolutely correct.

6 DR. FLEISCHMAN: That's the point.

7 DR. PERRIN: Yes. We will try to address  
8 that. That's a very thoughtful question, comment.

9 CHAIRPERSON HOWELL: I would assume that  
10 virtually every place in the United States has access to  
11 phototherapy. That may not be correct, but I would  
12 think that would be fairly readily determined.

13 DR. PERRIN: Certainly our anecdotal  
14 information would strongly support that.

15 CHAIRPERSON HOWELL: Chris.

16 DR. KUS: Jim, what kind of evidence -- we've  
17 had the statement saying that kernicterus is less now.  
18 But what kind of evidence do we have to say that disease  
19 related to hyperbilirubinemia is less, including  
20 kernicterus? Do we have that information?

21 DR. JOHNSON: Well, certainly in the case of  
22 RH disease there's clear evidence.

1 DR. KUS: Right, okay. But I guess in what  
2 we're talking about --

3 DR. PERRIN: I think you're really asking a  
4 very complicated question, I think, which is looking for  
5 non-kernicterus long-term outcomes of  
6 hyperbilirubinemia, do we have evidence that that has  
7 decreased? Well, I think the problem to a degree, of  
8 course, is those long-term outcomes are predominantly  
9 hearing loss, for which we do have some evidence about  
10 changing rates of hearing loss, but that may also  
11 reflect other types of screening, of course, than  
12 bilirubin screening, and then other neurodevelopmental  
13 outcomes, which could of course reflect many, many other  
14 things.

15 I think it's a hard question to answer. I  
16 think kernicterus has the, if I can call it that,  
17 advantage of being clearly associated with bilirubin  
18 being laid down in the basal ganglia and elsewhere, and  
19 that therefore is somewhat easier to monitor, although  
20 even that's not all that easy to monitor in clinical  
21 variations of that.

22 The simple answer is I don't know.

1 DR. KUS: If you specifically use kernicterus,  
2 though, the feeling is that there's good evidence that  
3 that's decreased?

4 DR. PERRIN: We found moderately good evidence  
5 that that has decreased in some of the studies I  
6 reported on early about some of the statewide data  
7 bases, for example.

8 DR. KUS: Okay, thanks.

9 CHAIRPERSON HOWELL: Other comments?

10 (No response.)

11 Jim, do you have additional comments?

12 DR. PERRIN: Let me just go on and finish up  
13 quickly with the economics and then leave you with what  
14 we think sort of an overview of what our findings are.

15 (Slide.)

16 We did look at some economic issues. They're  
17 listed in the key questions there. I'm not going to go  
18 over them. The next page describes that there are  
19 several papers. Most of them are not good papers.

20 (Slide.)

21 The next slide, cost-effectiveness analysis,  
22 is the one relatively good paper that we found, the only

1 really relatively good paper in the economic area, which  
2 looked at an outcome of cost per case of kernicterus  
3 prevented, so it was looking at long-term outcomes in  
4 that sense. There are some issues involved with that in  
5 defining what the real costs are of kernicterus per se.

6 But basically it suggested about 5 or \$6 million per  
7 case prevented using TSB screening for children.

8 So that's one piece of economic evidence here,  
9 but we don't find much else in the literature. We will  
10 try to get more evidence about reported costs of  
11 screening and treatment when we talk to people. But the  
12 published literature is fairly limited in this area.

13 If I can go on to the first slide labeled "Key  
14 Findings."

15 (Slide.)

16 Which we'd like to provide a little brief  
17 description or summary of where we are. One question is  
18 does high serum bilirubin concentration lead to acute  
19 clinical manifestations. The evidence there is that  
20 when compared to controls neonates with increased total  
21 serum bilirubin did experience an increase in acute  
22 clinical manifestations. There are a series of case

1 studies here. The strength of evidence is, frankly,  
2 fair.

3 The advantage of TcB over visual assessment:  
4 fair evidence, but in general would suggest that TcB is  
5 substantially better than visual assessment.

6 (Slide.)

7 The next slide is the specificity and  
8 sensitivity of the risk assessment and pre-discharge  
9 scheme prediction. The evidence here is moderately  
10 good. We've listed here some of the numbers that were  
11 in the earlier tables.

12 We've already discussed the question of  
13 whether screening prevents kernicterus. We really can  
14 find no good evidence for that.

15 (Slide.)

16 Then finally, the last key findings slide is  
17 really that the effectiveness of early intervention for  
18 hyperbilirubinemia using the measure of later severe  
19 hyperbilirubinemia predominantly does show that it is  
20 effective in doing that.

21 (Slide.)

22 Let me stop at this point. Our next slide

1 really includes the people whom we intend to speak with.

2 We would love to have advice from the committee on  
3 other people you would suggest that we contact.

4 CHAIRPERSON HOWELL: Thank you very much, Jim.

5 He summarized the data that they've  
6 accumulated so far and I think at this point we'd like  
7 to hear from the committee if you have additional  
8 recommendations as they move forward with their final  
9 report.

10 DR. BOTKIN: This is Jeff Botkin. Thanks, Jim  
11 and his group, for all the hard work here.

12 I have sort of a specific question and a more  
13 general question. I haven't heard much or seen much in  
14 the report about some of the heritable conditions that  
15 withdraw transferase deficiencies and I want to just  
16 make sure that those conditions are off the general  
17 table for discussion here.

18 It may well be that screening identifies those  
19 conditions and leads to a different treatment pathway,  
20 but it might be worth some at least brief comment about  
21 those conditions as part of this spectrum.

22 The more general question has to do with the

1 disease modeling we're talking about. It sounds like  
2 the assumption is that, irrespective of the etiology of  
3 the hyperbilirubinemia, it's the high bilirubin that's  
4 the direct cause of the adverse effects that we're  
5 concerned about. Of course, it may well be that, with  
6 the variety of etiologies of hyperbilirubinemia, that  
7 it's the primary etiology that's the problem and not the  
8 bilirubin per se.

9           So I wonder, in that context -- in my way of  
10 thinking, it might be something similar to, say,  
11 screening kids for fever. We know fever's associated  
12 with bad outcomes, but we would be kidding ourselves if  
13 we thought that detecting fever and reducing the  
14 temperature was the way to address that. It's the  
15 primary etiology that's the main thing we ought to be  
16 understanding and treating.

17           So I guess it sort of gets to a key question,  
18 and do we need a key question here, that asks whether  
19 there might be a targeted screening approach that would  
20 identify, say, hemolytic disease or intracranial  
21 hemorrhages or some other primary etiology for both  
22 hyperbilirubinemia and adverse outcomes that would get

1 us most of the way there to reduce the adverse  
2 consequences, but without the universal screening  
3 approach that we're talking about.

4 DR. PERRIN: Dr. Johnson may have some  
5 thoughts on this. I think the best evidence in trying  
6 to figure out what may lead to kernicterus or what might  
7 be the causes of kernicterus really comes, frankly, from  
8 some of the kernicterus registry data and their ability  
9 to look back on these children's records in their  
10 neonatal period and document in fact there were a  
11 variety of risk factors that were associated with these  
12 children's disease in most cases, but not in all cases.

13 And that includes the fact that there are some children  
14 who did not have abnormally high bilirubins, not a large  
15 number but some.

16 So that gives us a little bit of the etiology  
17 side of this. But I guess I would say that at the  
18 literature side we did not find anything that would  
19 really address the question of whether a targeted  
20 screening approach would be more beneficial.

21 DR. JOHNSON: I don't think that a targeted  
22 screening approach could be done at this point, because



1 with the kernicterus registry, yes, babies who had  
2 chronic problems at the lower bilirubin levels, and  
3 that's the levels between 20 and 25, to a lesser degree  
4 between 25 and 30, yes, they tended to have a longer  
5 duration of exposure or they had associated infection.  
6 But those are only things you know about after the fact.  
7 You couldn't really have identified those with the  
8 predischarge screening.

9 One thing when we're talking about  
10 predischarge screening, if I could add, that I did not  
11 mention earlier, the question was raised about how many  
12 of the predischarge screenings were multiple, how many  
13 had more than one TSB level. I wanted, in that  
14 connection, to remind people that in the bilirubin  
15 nomogram there were no values included after  
16 phototherapy had been instituted, and in babies,  
17 primarily those with hemolytic disease, in whom jaundice  
18 was noted early or for some reason a TSB was felt to be  
19 needed. If the bilirubin level was worrisome at that  
20 point, a repeat level was done to determine the rate of  
21 rise of the bilirubin for that particular baby. If on  
22 the basis of that rate of rise it was considered

1 necessary to treat, that baby had phototherapy and  
2 occasionally, in cases of severe hemolytic disease, an  
3 exchange transfusion.

4 That small number of babies does not appear in  
5 the nomogram as it is usually presented. One of the  
6 things that relates to the number of bilirubin is the  
7 need for repeating a worrisome bilirubin level to  
8 determine the rate of rise in the particular baby.

9 DR. CHEN: This is Freddy Chen. I have a  
10 question. Dr. Perrin, on the slide with the questions  
11 that you're going to pose to these experts, I'm  
12 particularly interested in the one that says, what will  
13 be the effect of taking bilirubin screening from its  
14 current form to state-mandated newborn screening? One  
15 of those related questions that rises greatest for me  
16 is, for example, in the kernicterus registry, how many  
17 of these children were not screened at all? What's our  
18 potential for improvement?

19 DR. JOHNSON: A careful reading of that paper  
20 says that this was very, very high. Many babies were  
21 sent home very early, without any evaluation at all, but  
22 on the basis of what the bilirubin was when they came

1 back, quite soon because of the mom's concern, it had to  
2 have been very high before.

3 That's retrospective, taking back data. But  
4 bilirubin does tend to rise at a fairly regular rate, a  
5 certain rate of rise per hour, and there were a large  
6 number of babies who could have been predicted and  
7 needed to be reevaluated and not discharged, because  
8 there was absolutely no estimate of a risk of jaundice  
9 done before. Of course, that did happen much more in  
10 babies who were sent home within 24 hours of birth.

11 CHAIRPERSON HOWELL: Coleen.

12 DR. BOYLE: Just a couple things, Jim.

13 DR. JOHNSON: That was the main reason for  
14 saying we need to do universal screening. There's  
15 always the occasional, the baby who you wouldn't have  
16 predicted would be that high that early.

17 DR. BOYLE: Jim, this is Coleen. I just want  
18 to -- I think it's worth repeating what I had said  
19 earlier this morning, and I know you already know this,  
20 but I was going to reiterate that I thought it would be  
21 important to include. And I know that Tom Newman was  
22 engaged initially in the development of the case

1 definitions, but that's just not included within the  
2 hard copy report we got.

3           Again, I would encourage you to try to have a  
4 balanced perspective in terms of the working group  
5 that's providing consult to this area, particularly  
6 because it is such a challenging literature and it is a  
7 very different literature than what the committee, the  
8 evidence-based committee, has already taken on.

9           The other clarification I wanted was, on page  
10 12 of the report, I didn't mention this earlier, but you  
11 said that you had sent a draft to an independent  
12 external review panel already.

13           DR. PERRIN: Yes.

14           DR. BOYLE: It might be helpful to just know  
15 who was on that panel.

16           DR. PERRIN: Sure. Alex, please check me if  
17 I'm wrong. Celia Kay at Denver. I'm just blocking on  
18 names.

19           DR. BOYLE: That's okay.

20           DR. PERRIN: Bob Davis at Atlanta.

21           DR. BOYLE: So these are people outside of the  
22 kernicterus world, really.

1 DR. PERRIN: Correct.

2 DR. BOYLE: That's what I really wanted to  
3 know. So that's great.

4 DR. KNAPP: Jeanine Cody, Celia Kay, Harvey  
5 Cohen, and Robert David.

6 DR. BOYLE: Okay, great. Then the other issue  
7 that I also mentioned is that several colleagues in my  
8 group had done a -- tried to replicate at least some of  
9 the body of evidence that you've created, and then I was  
10 going to send you those details. Also included in that  
11 is the economic piece as well.

12 CHAIRPERSON HOWELL: That will be helpful.

13 DR. PERRIN: Coleen, just to be clear -- and  
14 we will take the advice of the committee here -- we  
15 don't have an ongoing working group other than our  
16 regular evidence review group.

17 DR. BOYLE: Okay.

18 DR. PERRIN: The initial group was a group  
19 that we asked to help us specifically with determining  
20 the case definition. Insofar as that's been such a  
21 critical element in each of our reviews, we wanted to  
22 get some technical experts early on in that process.

1           Tom was actually not on that call because he  
2 was in Indonesia, I believe, at the time. But he did  
3 provide us advice prior to that call to help us figure  
4 out the case definitions. That is not an ongoing expert  
5 panel.

6           What we do in our next phase is we will have a  
7 variety of contacts through email and phone  
8 conversations with the people on the list on the slide,  
9 Coleen, "Next steps." Again, if you and other members  
10 of the committee have other people to suggest, we would  
11 be delighted to add them to our list. But that's really  
12 the next step at this point.

13           DR. BOYLE: Okay. Thank you.

14           CHAIRPERSON HOWELL: And Jane has a comment.

15           DR. GETCHELL: Two points. First of all, I'd  
16 like to know a little bit more about the cutaneous test,  
17 how it's performed, when it's performed, why it's  
18 performed, what does it cost, and so forth.

19           The other comment I have is really related to  
20 our discussions with CCCHD, and that is I would hope you  
21 would consider testing for hyperbilirubinemia as a  
22 standard of practice and not necessarily a public health

1 program.

2 DR. PERRIN: The first part of that, which is  
3 some of the characteristics of TCB, again all we've  
4 looked at so far is the published literature on TCB. We  
5 intend very much to try to answer the questions you've  
6 just raised about TCB and our next steps. We think  
7 they're critical questions. We have some gut feelings  
8 and anecdotes here, but we want to get better evidence  
9 than that to your set of questions.

10 I think the next question is really for the  
11 Advisory Committee's discussion. I don't believe we can  
12 provide -- I'm trying to think, what evidence would you  
13 like us to provide to help the committee with that kind  
14 of consideration?

15 (Pause.)

16 CHAIRPERSON HOWELL: There's silence around  
17 the table.

18 Unless there's some compelling information  
19 that we need to convey to Jim -- Coleen.

20 DR. BOYLE: This isn't for Jim. This is more  
21 for Jane. That was going to be part of the topic of our  
22 subcommittee meeting this afternoon. So you're welcome

1 to participate.

2 DR. LLOYD-PURYEAR: The subcommittee is going  
3 to be preparing for a larger committee discussion on  
4 that very topic, Jane, looking at point of service  
5 screening, public health role, etcetera. So it will be,  
6 hopefully, in May that we will address that.

7 CHAIRPERSON HOWELL: Chris, did you have a  
8 comment?

9 DR. KUS: The comment would be I think it's a  
10 discussion of the committee.

11 CHAIRPERSON HOWELL: Hearing no great material  
12 rising from the group, let's stop for lunch, and we'll  
13 resume at 10 minutes after 1:00, because we do need to  
14 allow that much time for folks to eat. Right after  
15 lunch, we're going to have a very exciting presentation  
16 that will be oversee by Jelili about the SCID program,  
17 which is moving along rapidly, and then the  
18 subcommittees.

19 We'll see everybody back promptly at 10 after  
20 1:00.

21 (Whereupon, at 12:10 p.m., the meeting was  
22 recessed, to reconvene the same day.)